

Tuesday, 10th July 2007

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

(9.30 am)  
THE CHAIRMAN: Good morning, everyone. I am Sandra Sturdy and I'm chairing this Fitness to Practise Panel hearing into the allegation against Dr Skinner.

I would like to ask you, it is very important to maintain the anonymity of the patients involved in this case. Should their names be mentioned in error, please do not refer to them outside of the room, and just to remind you about mobile phones, and if anyone does feel the need to talk, please could they do so outside of the room.

Mr Jenkins?

MR JENKINS: Madam, I am going to call Dr Skinner, as I indicated yesterday.

DR GORDON SKINNER (affirmed)

THE CHAIRMAN: I think you know everyone now, Dr Skinner, in the room so I will not bother to do the introductions, and I hand you over to Mr Jenkins.

Examination-in-chief by MR JENKINS

MR JENKINS: I am going to ask you to tell us your full name, if you would.

A. Gordon Robert Bruce Skinner.

Q. I am going to ask you to open file 2, please, at tab 4, at page 14. You should have part of the documents that

1           were prepared by you and sent back to the GMC when you  
2           were invited to undergo an assessment --

3   THE CHAIRMAN:  Excuse me, Mr Jenkins, I am sorry, it sounds  
4           like one of the Panel members might not have the papers.

5   MRS WHITEHILL:  Could I have the reference again?

6   MR JENKINS:  Yes, of course, it is file 2, tab 4, page 14.

7   THE CHAIRMAN:  Thank you.

8   MR JENKINS:  I hope you will have your professional  
9           qualifications on the page.

10  A.  Yes.

11  Q.  Can you confirm that those are right, you qualified MB  
12       ChB, University of Glasgow 1965, and you then got an MD  
13       from the University of Birmingham in 1975.  What was the  
14       subject of the MD?

15  A.  It dealt with herpes vaccines and viral interactions in  
16       general.

17  Q.  Right.  We see you then obtained fellowship of two Royal  
18       Colleges:  in 1985 the College of Obstetricians and  
19       Gynaecologists, and 1989 the Royal College of  
20       Pathologists, and the DSc in 1989 from the University of  
21       Birmingham.  Tell us about that.

22  A.  A DSc is awarded if it is deemed that your scientific  
23       works merit such.  You usually assemble your papers  
24       you've published and it is then decided whether to award  
25       this.  It is a kind of higher degree in science.

1 Q. I'm going to take you on to a rather fuller CV, if  
2 I may, and ask that this be circulated, please. I think  
3 D7 is the next one.

4 THE CHAIRMAN: Yes, D7.

5 MR JENKINS: Thank you very much.

6 (Handed).

7 I think this sets out again your qualifications. It  
8 sets out your earlier education. It gives your date of  
9 birth and your marital status and the position of your  
10 three children.

11 Over the page, on page 2, it sets out your career  
12 history, the medical jobs you undertook, and your  
13 teaching at the University of Birmingham between 1976  
14 and 1999.

15 Can you just tell us, what was the teaching that  
16 you were doing then?

17 A. I was originally in obstetrics and gynaecology and  
18 I taught in that subject, and then latterly in virology  
19 and then I generalised to medical microbiology.

20 Q. You were treating patients as well, clearly.

21 A. Yes.

22 Q. At that point.

23 A. Yes.

24 Q. What sort of patients were you treating?

25 A. Well, initially, of course, it was patients with

1           obstetrical and gynaecological problems. I then had an  
2           interest for a long time in basically herpes virus  
3           infections, and I saw patients with herpes virus  
4           infections obviously, and other sexually transmitted  
5           diseases.

6           Then when in fact the remit of the department of the  
7           University of Birmingham, my honorary appointment  
8           changed, I saw patients with infectious diseases to  
9           a larger extent. I don't know if you're asking about my  
10          present work or not.

11        Q. I'm coming on to that. If we turn, firstly, to page 7,  
12          I think we see papers and other research which you have  
13          been involved in and that you published, going over  
14          a significant number of pages.

15                I wonder if you could summarise that. It goes over  
16          from page 7 to 15.

17                Can you summarise the range of fields that your  
18          published papers cover?

19        A. This is up to page 16.

20        Q. It is up to page 15, isn't it? The publications.  
21          Page 15, you deal with papers presented at scientific  
22          meetings.

23        A. I see, yes, sorry. Well, the body of papers which has  
24          been the body of my work in my life deals with  
25          microbiological matters. Latterly, I published in

1 thyroid-related work.

2 Q. I understand.

3 A. And a few kind of general papers as well.

4 Q. The papers presented at major scientific meetings  
5 starting at page 15 go on for many, many pages, I think.  
6 They go to page 30 where that ends. Then we come on to  
7 scientific meetings related to hypothyroidism.

8 You then deal on page 32 with lectures, and page 33  
9 fellowship awards.

10 Page 34, with patents that you've sought, and  
11 page 35, patents that you've filed.

12 Then on page 36, you deal with membership of  
13 professional associations and the Panel will see various  
14 entries there.

15 Can I take you back to page 3, please. This sets  
16 out your present appointments, that you're a director  
17 of, is it a company, HIV-VAC?

18 A. Yes, it is a public company, the equivalent in the  
19 United States.

20 Q. You are also the chief executive officer of Vaccine  
21 Research International, which is a company in this  
22 country.

23 A. Based in this country, yes.

24 Q. You say what those companies are doing, pursuing  
25 research and development into two vaccines, vaccines

1 dealing with two sorts of matters, and you're involved  
2 in a trial in one of them. You are also the medical  
3 director of the Louise Lorne Clinic, which I think has  
4 the address of Alcester Road, that the Panel have heard  
5 about?

6 A. That is right.

7 Q. You say that this clinic obtained registration with the  
8 Healthcare Commission in April 2005. The clinic  
9 specialised in the diagnosis and management of  
10 hypothyroidism.

11 If we stay at tab 4 of bundle 2, I think you've  
12 attached, starting at page 76, a number of appendices to  
13 the material that you sent to the General Medical  
14 Council. We can see a significant number of policies  
15 and other documents. To take an example: Accident  
16 Procedure for Infection Control Policy, number 21 and  
17 22. What are those prepared for? Are those for  
18 Alcester Road, the clinic?

19 A. Yes.

20 Q. Were those prepared for your accreditation with the  
21 Healthcare Commission?

22 A. Yes, it is a requirement.

23 Q. I think obviously in addition to a number of policy  
24 documents and protocols for the clinic, obviously you've  
25 attached, starting at page 128, articles that the Panel

1           have seen, or a paper that the Panel have seen before,  
2           that we may look at again in due course.

3           At page 138, a letter from 1997 that was published  
4           in the BMJ. You are the first signatory to that letter.

5   A. Yes.

6   Q. Then, 139, a letter of yours to the editor of the BMJ,  
7       and I think finally a letter of yours to Professor Sir  
8       Graham Cato, the president of the GMC?

9   A. I don't have that in the bundle, but I do remember it,  
10       I did write that.

11   Q. It should be 141. Do you have that?

12   A. My bundle stops at 141 but I can confirm I wrote to  
13       Professor Cato.

14   THE CHAIRMAN: Ours is the same.

15   MR KARK: We took that letter out. If it is wanted, then  
16       we --

17   MR JENKINS: I don't know that I need it at all, I was just  
18       going to the end of the bundle, but clearly I have gone  
19       beyond it.

20           I wonder if I can start at 1997 and page 138, your  
21       letter to the BMJ. Again, we've looked at it before,  
22       but some days ago.

23           This is you and six general practitioners from  
24       various parts of the country, one from Wales, another  
25       from Essex, another from Hayes outside London,

1 Leicester, London, Bolton, writing to the BMJ,  
2 questioning present medical practice, which considers  
3 abnormal serum concentrations of free thyroxine and  
4 thyroid stimulating hormone, those outside the  
5 95 per cent reference interval to indicate  
6 hypothyroidism. But incorrectly considers:

7 "Normal free thyroxine and thyroid stimulating  
8 hormone concentration to negate this diagnosis."

9 It is unusual for doctors to start thyroxine  
10 replacement in clinically hypothyroid but biochemically  
11 euthyroid patients, and at the bottom of that column, it  
12 may be a passage that the Panel have already highlighted  
13 before:

14 "We contend that an incremental three-month trial of  
15 thyroxine treatment in clinically hypothyroid but  
16 biochemically euthyroid patients is a safe and  
17 reasonable strategy."

18 Can you tell us why you were writing that in  
19 June 1997? How much work with hypothyroid patients had  
20 you done up to that point?

21 A. I think we -- I had been working in a sort of major  
22 drive for about three years at that point.

23 Q. Right. Was that at the Alcester Road clinic in  
24 Birmingham? Where did you start?

25 A. I started at the University of Birmingham, about 94, and

1           then we moved to the clinic in Moseley in 1999 and  
2           continued the work there.

3    Q.   How did you first start dealing with this type of  
4           patient?

5    A.   I was involved in virology so I've seen patients with ME  
6           or chronic fibromyalgia, or fibromyalgia.

7    Q.   Is that chronic fatigue by another name?

8    A.   Yes, there is a kind of group of conditions.  Some  
9           people argue strongly that they're terribly different,  
10           some people say they're all kind of the same, and thus  
11           I was seeing patients for that reason.

12           Essentially, a patient came who looked so  
13           hypothyroid, it wasn't true, if you like.  That's based  
14           on the symptoms and signs.  The general practitioner,  
15           Dr Selarajah(?), and I agonised long about this patient,  
16           eventually provided her with thyroid replacement, and  
17           she recovered completely.  That's one patient, and that,  
18           I think, sparked my interest in this and the concern  
19           that the thyroid chemistry was misleading us seriously.

20           So that really was the sparking off of that one.

21   Q.   Well, did you then deal with other patients --

22   A.   Yes.

23   Q.   -- who may have similar problems?

24   A.   Yes, exactly.

25   Q.   How did those patients come to you or how were they

1 referred to you?

2 A. It was a very slow process because essentially you don't  
3 like, if you can avoid it -- it is perfectly legal to  
4 see patients without a referral and people didn't know  
5 of my work then, so it was a very tricky kind of  
6 business until about 1997 when we saw patients almost  
7 entirely by referral from the family practitioners. But  
8 basically it was by referral from, not necessarily the  
9 family practitioners, any other medical practitioner.

10 Q. What were you able to do for the patient?

11 A. I mean, it's axiomatic but I believe I've helped the  
12 patients and returned them to health.

13 Q. You've written a book, the Panel know that you have, and  
14 we're going to distribute copies in a moment. But tell  
15 us, why did you write the book?

16 A. That's a difficult question and I haven't thought about  
17 it until you've asked me. I think I don't want to  
18 overstate the case, but I do feel strongly that there is  
19 a serious shortfall in medical care here and one way to,  
20 sometimes almost a marginal way, to improve or stimulate  
21 professional public perception of a problem is to write  
22 a book.

23 I think that's why. I think if you kind of feel  
24 strongly about something and many people are motivated  
25 to write about it.

1 Q. Let's have a look at the book. I'm going to ask that it  
2 be distributed, please. We have five copies, which is  
3 certainly enough for the Panel. I don't know if Mr Kark  
4 would like a copy for Mr Lynn to look at.

5 A. I can get more copies, if need be, in the boot of my  
6 car.

7 Q. You need a copy as well, you don't have a copy in front  
8 of you.

9 A. I would quite like one.

10 Q. We will make sure you have one.

11 A. If it is possible.

12 Q. I wonder if that extra one could be given Dr Skinner.  
13 We will ensure the Panel have an extra one for the  
14 Committee secretary. This is D8, I think.

15 MR KARK: If we could have another copy at the next break.

16 MR JENKINS: We'll organise it.

17 I think you published this yourself.

18 A. Yes.

19 Q. Louise Lorne Publications, and Louise Lorne is the name  
20 of the house or the building in which your clinic is.

21 A. Yes, it is the name of the clinic. Louise Lorne is  
22 a street next to our clinic in Birmingham.

23 Q. I understand. You have your qualifications, the Panel  
24 will see the acknowledgments, some three or four pages  
25 in, and particularly the last one.

1 I wonder if I could take you to the introduction.

2 You say:

3 "This book has two purposes, namely to provide  
4 a detailed account of the diagnosis and management of  
5 hypothyroidism and to reassert the importance of  
6 clinical observation in medical practice."

7 We should note that this book was published in 2003,

8 I think we see --

9 A. Yes.

10 Q. -- from the reverse of the first page.

11 You go on to say of the importance of clinical  
12 observation:

13 "The latter seems to be an outmoded concept,  
14 replaced by evidence-based medicine, 'which does not  
15 mean evidence-based medicine but laboratory-based  
16 medicine to provide protection against possible  
17 litigation'. It is unclear why evidence relating to  
18 a patient's clinical features is less weighty than  
19 a laboratory test, which is de facto an indirect  
20 measurement and was originally derived and developed  
21 from clinical features."

22 You go back to 1891 and refer to, is it a man,  
23 Murray?

24 A. It is a man.

25 Q. Just tell us what we need to know about Murray.

1 A. This is a research worker, he worked in Newcastle, and  
2 what in essence he did was he took an extract from  
3 a sheep's thyroid gland and put it into patients that he  
4 thought were hypothyroid and cured them. In fact the  
5 first patient was -- he did this for some, I think,  
6 nearly 20 years. It is something you couldn't do now at  
7 all, ever, to do what he did, and that's basically and  
8 essentially what he did, it was as simple as that.  
9 Later on it was possible to grind up sheeps' thyroid  
10 glands and give it as an oral preparation.

11 Q. Let me take you on. Was that the first practitioner  
12 dealing with thyroid problems?

13 A. There was someone before who had drawn attention to the  
14 condition, he was the first practitioner to do anything  
15 about it.

16 Q. So the treatment of thyroid problems on that analysis  
17 has been going on for about a hundred years or so,  
18 slightly more?

19 A. Yes.

20 Q. Can I take you on, page 3. You say in this book:

21 "I'll provide a detailed account of the clinical  
22 features of hypothyroidism with discussion on the  
23 usefulness of laboratory information towards its  
24 diagnosis followed by a discussion on the management of  
25 patients with hypothyroidism."

1           You say:

2           "This section is based on my own experience."

3           You have tried to address problems which arise in  
4           everyday practice and are often not examined in standard  
5           textbooks on the subject. The management of proven  
6           hypothyroidism is not straightforward and there are  
7           a number of interesting issues concerning the particular  
8           types of replacement preparation."

9           You go on in the next paragraph to say:

10          "The book will hopefully be of interest to  
11          professional thyrologists and other physicians and the  
12          laity. It is written in the style which represents ..."

13          I think you later say it is a fairly light-hearted  
14          tome so as not to get bogged down. I paraphrase.

15    A.    Yes.

16    Q.    Let me take you on, if I may. I don't think we need  
17          deal with paragraph 2. Paragraph 3 is of importance,  
18          page 18.

19    A.    Sorry, Mr Jenkins, page what?

20    Q.    18, chapter 3:

21          "The diagnosis of hypothyroidism should be made on  
22          clinical grounds. This is the most important statement  
23          in this book."

24          You go on to make three general points, and firstly:

25          "While thyroxine deficiency will ultimately effect

1           everybody system with consequence on physical, mental,  
2           sexual, immunological, emotional and spiritual life,  
3           clinical manifestations vary quite notably from patient  
4           to patient. In some patients the effect is  
5           predominantly physical with lack of stamina following  
6           exercise and for other patients it may be mental with  
7           loss of memory for recent events or can be present as  
8           reduced or absent libido or recurrent sore throat."

9           You go on to say:

10           "The problem of symptom diversity is compounded by  
11           personal reaction and concern of the patient over any  
12           given symptom."

13           You go on to give an example.

14           Secondly, you say on page 19:

15           "Certain patients volunteered no specific complaints  
16           and the problem is drawn to the doctor's attention by  
17           a marital partner, relative or friend or even  
18           a professional acquaintance, and the patient herself  
19           assumes or has often been told by her family  
20           practitioner that she is depressed, menopausal or  
21           overeats. It is frequently stated that hypothyroid  
22           patients are uncomplaining and compliant."

23           But that has not been your experience:

24           "If one takes a careful history, it will become  
25           clear that almost every hypothyroid patient has sought

1 medical attention on a number of occasions but has often  
2 been given the big DMO [you've explained earlier what  
3 they are] rebuff, which is daft."

4 You talk of women. Tell us, what's the  
5 preponderance of women to men with hypothyroid problems?

6 A. I think most people would agree it is about 9 to 1,  
7 that's certainly the ratio in my own practice.

8 Q. You then go on over the page, paragraph 20, to deal with  
9 the third point of the three:

10 "Finally, the diversity of symptoms can lead to the  
11 pseudo-cure phenomenon, this occurs when the patient  
12 quite properly consults a variety of specialists, most  
13 usually a rheumatologists, because of scattered aches  
14 and pains, a gynaecologist because of heavy or absent  
15 periods, or a psychiatrist because of psychological or  
16 emotional problems."

17 You say:

18 "Some symptoms are, of course, alleviated by  
19 antidepressants or hormone replacement therapy but the  
20 fundamental problem remains, and if the patient should  
21 dare to complain that she still does not feel well, she  
22 is well in line for a reprimand."

23 You say right at the bottom of the page:

24 "I know this will make me unpopular with my  
25 endocrinological colleagues but there seems to be an

1 almost perverse pleasure in dealing with a patient who  
2 is not hypothyroid, usually on blood test results, of  
3 which more anon, but also on a new principle that if  
4 other than an endocrinologists considers a patient to be  
5 hypothyroid, then that patient is not hypothyroid."

6 You go on to talk about your old mum, that even  
7 though she would make the diagnosis.

8 You go on to make an observation that it is  
9 staggering how many patients are patently hypothyroid  
10 but an endocrinologist pronounces, often with some  
11 irritation, that the patient is definitely not  
12 hypothyroid, and you go on to make an observation about  
13 the quality of the evidence, evidence-based medicine  
14 having omitted to take the patient's pulse or  
15 temperature or even listen to the woman.

16 You go on to say:

17 "As always in medicine it is necessary to be alert  
18 for any diagnosis irrespective of the nature of the  
19 referral from a family practitioner, consultant or  
20 specialist colleague, it is now my invariant rule, with  
21 rare exception, to see patients only by referral from  
22 family practitioners where you are pre-provided with  
23 relevant history and background and you are then in  
24 a professional relationship with the family practitioner  
25 and you can thus work with him/her, in a congenial and

1 harmonious way, which is difficult if one springs on the  
2 family practitioner that you unsolicitously saw his or  
3 her patient."

4 Here's what you think should happen:

5 "Colleagues in family practice can and quite  
6 reasonably become a bit narked about this."

7 Well, can I break off. We have seen that in 1997,  
8 six years before this book was written, you were writing  
9 to the BMJ with six GPs. How did you come to meet those  
10 GPs and find yourself writing to the BMJ with them?

11 A. These GPs have worked with me on patients.

12 Q. I understand. Well, tell us, what we've just read, that  
13 patients may be referred to you by a family  
14 practitioner, consultant or specialist colleague,  
15 is that right? Is that what's happened over the years?

16 A. Yes, absolutely.

17 Q. You go on to deal with clinical features of  
18 hypothyroidism and I don't need to read it.

19 I'm looking at page 25 where you recommend younger  
20 colleagues to read some earlier works and some books  
21 which are not the latest books in medicine.

22 The Panel will see that you are somewhat dismissive  
23 of the meta-analysis or the computer search. Tell us  
24 why.

25 A. I think the problem there is that a meta-analysis,

1 unless the author -- or the reader rather, is very  
2 diligent indeed, they'll more or less take the  
3 conclusion of the meta-analysis without really, usually  
4 I suspect, looking into what each paper actually said,  
5 and then that view from the meta-analysis becomes  
6 enshrined for posterity, and that view will then be  
7 carried on and will gradually transmute into truth.

8 The whole principle that you read someone's  
9 meta-analysis, albeit perhaps constructed by a Panel,  
10 indeed we saw that with one of Professor Weetman's  
11 papers, that a Panel had made pronouncements like "fair"  
12 and "good". It seems to me to depart from the basic  
13 principles of good scientific practice which I tried to  
14 instil in the students when I was at the University of  
15 Birmingham.

16 Q. Can I take you on, later in that chapter, and if the  
17 Panel go back to the contents page towards the start of  
18 the book, they'll see the way in which that chapter's  
19 laid out.

20 I think you say at page 30, just before the passage  
21 under the heading "Fatigue":

22 "The following sections will examine the clinical  
23 features of hypothyroidism, these will be presented in  
24 order of frequency and not by systems, which may be more  
25 useful for the practising clinician."

1           I don't need to take you through what you say or the  
2 vast majority of those. I would like to look,  
3 if we may, at page 58, "Visual and other sensory  
4 disturbance".

5           You say:

6           "Visual disturbance is most frequent. Blurring of  
7 vision is often described as a general dullness, or a  
8 veil, or curtain over the eyes. The world seems grey,  
9 dull and out of focus yet many patients cannot tolerate  
10 bright light, for example, approaching car headlights,  
11 presumably from inadequate capillary contraction. Other  
12 patients report double or distorted vision where lines  
13 and printed words twist and merge into each other. This  
14 can be one of the earliest features to respond to  
15 treatment and indeed certain patients report that 'all  
16 of a sudden the world seems lighter, brighter and more  
17 colourful'."

18           Hallucinations you go on to deal with of a certain  
19 sort, particularly in the peripheral vision, and one  
20 word, which I would mispronounce if I tried:

21           "... represent perhaps the most poorly recognised  
22 feature of the disease occurring, in my experience, in  
23 approximately 75 per cent of patients and rarely in  
24 non-hypothyroidal patients if their history is taken in  
25 detail. Some patients rather baulk at the term

1 'hallucination' fearing, not without foundation, that  
2 a confession of this feature may result in their being  
3 committed to a psychiatric institution. There can be  
4 black floaters or silvery wiggly things in front or at  
5 the side of the eyes, but in more severe cases the  
6 patient is convinced that something is moving at the  
7 side of their vision or that somebody has passed by  
8 their side."

9 We know that certainly with one of these patients  
10 she thought -- A to D that the Panel are dealing with --  
11 there was something at the periphery of her vision.

12 A. Yes, indeed.

13 Q. We have been told this symptom or sign is not reported  
14 in any textbook. Do you know if that is right?

15 A. Well, it is not right quite in the way I've described  
16 it, in quite detail I have described it, but certainly  
17 visual disturbances are reported in the literature in  
18 hypothyroidism.

19 Q. I don't think I need read on in that section, but later  
20 on you deal with headaches, page 68, and we will recall  
21 that Professor Weetman had never seen mention of  
22 headaches in any textbook referring to the symptoms and  
23 signs of hypothyroidism.

24 You go on to deal with them, but perhaps it is  
25 obvious, have you seen headaches or heard complaints of

1           headaches from patients who have symptoms of  
2           hypothyroidism?

3    A.   Yes, I am surprised at Professor Weetman's testimony  
4           there, but I try never to criticise a colleague without  
5           any justification. I find it a pretty common feature in  
6           hypothyroid patients, and I think it is fairly well  
7           documented in the literature, indeed in fairly standard  
8           textbooks on the subject. Patients describe an  
9           exploding head sometimes or a tight band in their head,  
10          and I would say probably about 60 per cent of patients  
11          complain of that. It does resolve fairly quickly on  
12          treatment.

13   Q.   I don't think I need take the Panel through what you've  
14          said in that section.

15                 Can I take you on to the next chapter. The Panel  
16                 will find it starting at page 85. It is under the title  
17                 "Differential diagnosis".

18                 You say:

19                 "Hypothyroidism is usually a straightforward  
20                 diagnosis, given that one listens to the patient and  
21                 takes a little time and trouble over history and  
22                 physical examination. I would be the first to admit  
23                 that this is not too easily achieved in the hurly-burly  
24                 of a busy family practitioner's office and I've never  
25                 criticised a misdiagnosis of hypothyroidism by family

1 practitioner colleagues."

2 You go on to make a comment about endocrinological  
3 colleagues. You say at the bottom of the page:

4 "It is important to never initiate the consultation  
5 with a rigid preconceived notion of the diagnosis and to  
6 remember that hypothyroidism can appear somewhat ..."

7 And you refer to two other conditions in two  
8 different guises:

9 "It is quite common for there to be one or more  
10 glaring exceptions to perceived typical symptoms, for  
11 example weight loss or tachycardia, or a particularly  
12 confusing feature for the inexperienced practitioner,  
13 a rather shuddering frenetic manner, which often  
14 mistakenly leads to a diagnosis of the opposite problem,  
15 namely hyperthyroidism."

16 You say:

17 "A number of differential diagnoses can also be  
18 complications of hypothyroidism which can mask the  
19 diagnosis or make symptoms more severe. For example,  
20 fatigue associated with vitamin B12 anaemia or  
21 hypoadrenalism or thirst, and weight gain of mature  
22 onset diabetes."

23 You say further down:

24 "I've always dreaded that a young adult patient  
25 might have leukaemia or other malignancy but it has

1 never occurred in my practice. I am not sure I would  
2 deal with it well."

3 You go on to say:

4 "In this section I have discussed the likely  
5 differential diagnosis in more or less [I think that is  
6 what it should say] their order of likelihood."

7 You then encourage doctors to pay attention and be  
8 aware.

9 A. Yes.

10 Q. The Panel can see how you've chosen to put it. I think  
11 you slip into a Scots accent and then talk French and  
12 Latin all in two sentences.

13 A. I think that's a case, Mr Jenkins.

14 Q. You deal with anaemia and vitamin B12 deficiency.

15 I don't need to take you through what you say, but the  
16 Panel will see over the page, page 88, you say:

17 "I am quite prepared to carry out a course of B12  
18 injections in patients with clinical features of B12  
19 deficiency and suggestive but not conclusive laboratory  
20 evidence..."

21 Whatever that means:

22 "... and seeing what happens. The crucial matter in  
23 such decisions is the degree of safety in the intended  
24 medication, and I think most of us would agree that B12,  
25 rather like thyroid replacement, are spectacularly safe

1 medications. Patients are usually quite happy to be  
2 given a relatively harmless medicine like B12 and 'see  
3 how they get on', but less happy to be given, say,  
4 Prozac if they themselves don't believe that they are  
5 depressed. I tend to side with the patient on this  
6 one."

7 You say:

8 "If one can bung Prozac to an unwilling recipient  
9 and see if it helps, why in heaven's name is it a sin to  
10 administer B12 or thyroid replacement in sensible dosage  
11 and see if it helps? The former is considered  
12 reasonable practice and the latter quite reprehensible  
13 if not a matter for a GMC disciplinary report."

14 And you suggest that it is ridiculous.

15 You go on to say:

16 "A successful B12 replacement is usually  
17 a therapeutic joy meaning that the patients improve  
18 dramatically.

19 A. Yes, indeed.

20 Q. We did hear that vitamin B12 can only be given by  
21 injection at 1,000 micrograms. Is that right?

22 A. I think giving it by injection is the most rapid and  
23 efficacious way to do it, but the corollary that oral  
24 B12 doesn't do any good, I think -- I don't know where  
25 that actually sprung from.

1           I've measured B12 levels in patients who have been  
2           given oral B12, the level's gone up. I am not for  
3           a minute saying it is as quick, but it may not be  
4           necessary to always give injections.

5           It does raise the issue that the B12 we get per vias  
6           naturales is from a food. So the principle -- without  
7           getting into intrinsic factors and gastric cancers that  
8           is Professor Weetman drew attention to, the principle  
9           that you do not and cannot and it will do no good to  
10          take B12 by mouth, I think is insecure.

11        Q. I think one of the doctors said that they don't make it  
12          in tablet form, 1,000 micrograms, or if they do, it  
13          doesn't work.

14        A. Well, I think one of the doctors did say that, yes.

15        Q. That is a bottle (indicating) of B12,  
16          1,000 micrograms --

17        A. Yes, it is made and manufactured.

18        Q. -- and it carries the name Holland and Barrett on  
19          the label, which suggests it is from a health food shop  
20          rather than a pharmacy. But it is made --

21        A. Absolutely, and a very respectable health food shop.

22        Q. Indeed.

23                Let's move on within that chapter because you also  
24                deal with one other matter which is of relevance, it is  
25                hypoadrenalism at page 107.

1           You say:

2            "In a practice where I see over 200 family  
3 practitioner new referrals per year, I doubt if I would  
4 see more than six patients in whom I feel the only  
5 problems is a primary under function of the adrenal  
6 glands. I will see perhaps one classical case of  
7 Addison's disease in two years."

8           You say:

9            "These patients tend to be rather sallow with  
10 a sunken kind of expression."

11          You go on to say what they may complain of.

12          Over the page you talk of:

13          "The standard laboratory test nowadays is a serum  
14 cortisol level wherein a fasting level at about  
15 9 o'clock in the morning is deemed to be most helpful on  
16 account of the diurnal change in serum cortisol levels."

17          You say:

18          "If thyroid chemistry is a bit suspect the cortisol  
19 estimations are even more suspect, and I wonder if there  
20 have been sufficient patients with Addison's disease to  
21 even validate cortisol levels, which tend to bob up and  
22 down in the same patient with disturbing magnitude."

23          You go on to talk about your experience:

24          "Patients who have low levels, perhaps under 100, do  
25 tend to have hypoadrenalism."

1           You go on to talk about a Synactin test, which  
2           we have heard of elsewhere.

3           Tell us: if you do get a patient and you suspect  
4           that there is a problem with hypoadrenalism, what do you  
5           do?

6    A.    It depends very much on -- this recurs in medical  
7           practice -- the extent of one's concern.  If a patient  
8           is moving around in society and you think there may be  
9           hypoadrenalism, it is not a sort of crashing medical  
10          emergency.  I would either take either a blood test or  
11          perhaps urinary 24 hours -- I think that's gone a little  
12          past, it's a bit of a bother for everyone, including the  
13          patient -- or flag this up to the family practitioner.

14                 I think I've only seen two patients who had  
15                 Addison's disease, in fact, in a decade.  That's  
16                 a condition which is life threatening and it's rare  
17                 patients will be sort of not pretty ill.  I know that  
18                 sounds a rather lay term, but doctors understand what  
19                 you mean if you say that.

20                 There was something else I was going to say about  
21                 that ...

22    Q.    We will come back to it with one of the patients.

23    A.    Thank you.

24    Q.    I was going to come on to the next chapter, page 123,  
25           "Management of hypothyroidism".

1           You say:

2           "Management of hypothyroidism is a delight, allowing  
3 professional fulfilment through application of sound  
4 scientific principles and the art of medicine. It  
5 requires consideration of many factors, including the  
6 physical, mental, and emotional state of the patient,  
7 her family, and social circumstance.

8           "There are different types of patients with  
9 differing attitudes. Certain patients are nervous of  
10 thyroxine replacement, usually from exaggerated  
11 pronouncements by colleagues over perceived side  
12 effects, and will welcome a slower regime of thyroxine  
13 replacement, while other patients, for example business  
14 people, tend to be impatient and welcome a more rammed  
15 programme of replacement.

16           "Some colleagues believe that the patient's wishes  
17 or attitude are irrelevant to the replacement strategy,  
18 but I see no problem with reasonable limits (see above),  
19 particularly as there is already considerable variance  
20 in treatment regimes. It's not a dangerous medication  
21 and there is no need for undue anxiety from reasonable  
22 modification of treatment schedules."

23           You go on to make other observations about patients  
24 and you talk on page 124 about patients sometimes  
25 adjusting the dose.

1           You say about ten lines up from the bottom on  
2 page 124:

3           "I have never found a problem with any type of  
4 patient and quite enjoy an intellectual joust with  
5 patients who want to regulate their therapeutic regime  
6 or type of thyroid preparation, or fiddle about  
7 increasing or decreasing doses, but it does often earn  
8 a reprimand from my colleagues. I don't know why they  
9 are so sense about this, I have never known a patient do  
10 more than make minor adjustments to dosage and, as far  
11 as I know, they nearly always confess this to the  
12 doctor."

13           You say three lines before the next section on  
14 page 125:

15           "To date, I have never encountered a patient who was  
16 self overdosed on thyroxine to an extent that it was not  
17 reversible within a few days by a reduced dosage or  
18 withdrawal of the medication."

19           Can we deal with the initial consultation. You say  
20 that you give a brief rundown of the problem of  
21 hypothyroidism and its effect on different body systems  
22 and reasonable expectation of treatment in that patient:

23           "I always emphasise the relatively uncertainty of  
24 rate of improvement as there may be no perceptible  
25 improvement for perhaps five to six weeks and it may

1 take one to two years before the patient is euthyroid  
2 and returned to optimal health.

3 "It is also important to indicate that successful  
4 replacement and restoration of health will not make  
5 a 60-year-old patient feel like 16-year-old ... and it  
6 is useful to curb undue expectations ... I always  
7 emphasise that there may be some minor side effects at  
8 the beginning of treatment in the nature of headaches  
9 and occasionally sweating."

10 At the bottom of that page you say:

11 "As stated, it may be comforting to repeat that  
12 I have never observed significant side effect of any  
13 magnitude or importance from thyroxine replacement in  
14 a sensible incremental fashion ... Side effects can  
15 occur at three stages, namely at initiation of  
16 treatment, for reasons described. Secondly, when the  
17 dosage is increase from 25 micrograms to 50 micrograms  
18 per day, which I suppose is a doubling dosage, unlike  
19 other higher dose increases, and the patient is  
20 presumably not yet too justified to receiving thyroxine  
21 replacement, and finally, when one has reached a highish  
22 dose for that patient, when there may be evidence of  
23 clinical thyrotoxicity, which is not a big drama and is  
24 solved by reducing the dose. In essence therefore  
25 I indicate that there can be minor adverse effects being

1 on thyroxine ... and being on thyroxine will not  
2 preclude the patient from other incidental aches and  
3 pains, coughs, colds or flu."

4 You then go on to deal with availability meaning  
5 your availability if patients want to contact you.

6 A. Yes.

7 Q. "I used to tell patients they could contact me at any  
8 time with any problems but I'm not sure it was a good  
9 idea. The difficulty is that patients tend to believe  
10 you."

11 You give examples of times when you were called.

12 You say:

13 "I now cut off non-emergency calls at perhaps  
14 8.00 pm ... a system for emergency calls is important.  
15 Patients should be told you are available for emergency  
16 calls, but non urgent matters can wait until the next  
17 day."

18 You then go on in the next heading to deal with  
19 replacement of thyroid hormones:

20 "This is the essence of treatment and will restore  
21 the patient to optimal health provided the  
22 hypothyroidism is the only problem. Some patients have,  
23 for example, hypoadrenalism, which is usually secondary  
24 to hypothyroidism, and will be cured by providing  
25 thyroxine to the adrenals but rarely, in my experience,

1 is a result of primary hypothyroidism, which requires  
2 adrenocortocoid replacement."

3 A. If I could interrupt.

4 Q. Please do.

5 A. I apologise. That is what I forgot to say actually,  
6 that most patients I think a minor degree of adrenal  
7 insufficiency is because the adrenal is not getting  
8 enough thyroxine and thus itself is sorted by your  
9 treatment. Sorry to interrupt you.

10 Q. No, it's all right. You then go on to say:

11 "The only requirement for most, I emphasize most  
12 patients, is thyroxine, T4."

13 You go on to explain the chemical make-up of  
14 thyroxine and these are matters that the Panel perhaps  
15 are already aware of.

16 Over the page, you deal with the choice of thyroid  
17 preparation. You say:

18 "The choice of thyroid preparation was once a simple  
19 matter. There was essentially one preparation."

20 You then talk about a drug company and how sodium  
21 thyroxine was brought out decades ago.

22 You then talk of three types of thyroid preparation  
23 with different trade names in different countries, but  
24 you confine yourself to the names used in the UK and  
25 Ireland, that is sodium thyroxine, and the deiodinated

1 active Tri-iodothyronine, which has one of the iodine  
2 atoms removed, and the third one being the natural  
3 thyroid preparation, the most widely used of which is  
4 Armour Thyroid produced by Forest Pharmaceuticals in the  
5 United States.

6 You say in this book written in 2003:

7 "The question of which should be used and in what  
8 circumstances is a matter of controversy, although there  
9 is precious little evidence to support one preparation  
10 versus the other."

11 You then go on to say:

12 "I will try and give a balanced account of the pros  
13 and cons of each preparation."

14 I am going to step away from the book.

15 Are there circumstances in which you use Armour  
16 Thyroid and, if so, what are they?

17 A. I think there are two circumstances. One is if the  
18 other -- I would normally -- I normal start with  
19 thyroxine. If it's not working, and you're fairly sure  
20 there is no other problem present, I can see no problem,  
21 or in fact I do, introducing either T3 or Armour  
22 Thyroid.

23 A second situation I find is of patients who have  
24 had thyroidectomy, a lot of patients, these are the  
25 rather a high dose of thyroxine, or I have found over

1 the years Armour Thyroid seems particularly useful  
2 there.

3 On the not absolutely secure thinking but I suppose  
4 it's kind of common sense, if you've taken let's say  
5 someone with a total thyroidectomy, you've taken away  
6 everything. There is no evidence that thyroxine or even  
7 Tertroxin are the only useful thyroid or parathyroid  
8 related hormones.

9 Q. Tertroxin is the T3 preparation.

10 A. Yes, yes. So I suppose if you're taking everything  
11 away, it's not completely unreasonable to put everything  
12 back, which would be the rationale of Armour Thyroid.

13 Then thirdly, this might be moving out of strict  
14 medical considerations, some patients say: I don't like  
15 synthetic hormones. I would like to take the Armour  
16 Thyroid.

17 Q. Which is the dessicated porcine extract?

18 A. Yes. I know some of my colleagues get enormously tense  
19 about this, I'm not quite sure why, it's has been around  
20 for 120 years, and I can see no ethical problem in  
21 providing that preparation. It's has stood the test of  
22 time.

23 The main problem is that, of course, it's not  
24 usually prescribable by family practitioners and then  
25 the patient has to pay for it, which is a downside as

1 well.

2 Q. In what circumstances would you prescribe T4 and T3, the  
3 thyroxine and the Tertroxin?

4 A. Again, if the patient is essentially what I would call  
5 plateauing, you're putting the dose of thyroxine up, the  
6 patient says: I'm not feeling any better here. I think  
7 over the years I've found that many patients then  
8 subsequently improve because you've added in Tertroxin.  
9 Some practitioners actually use Tertroxin alone by  
10 choice. It seems to have no particular downside to it.

11 Q. Again, I don't need to take you or the Panel through the  
12 discussion in the book as to the pros and cons of the  
13 particular forms of replacement therapy, thyroxine as  
14 against T4 and T3, as against Armour Thyroid, because  
15 I don't know if that is right at the root of this  
16 hearing. I want to take you on, if I may, to page 156  
17 in your book where you deal with the expected outcome of  
18 thyroid replacement.

19 You say:

20 "Thyroid replacement in hypothyroidism patients  
21 remains one of the most satisfying therapeutic exercises  
22 in medicine."

23 Can I break off there and ask you to explain why.

24 A. I think it's one of the few conditions where you can  
25 return -- well, you could convert a patient, for example

1 Patient D was living in an appalling quality of life, to  
2 complete health. That's enormously satisfying for  
3 a medical practitioner, that's what we're about.

4 Q. Well, is D the only case of that type that you've dealt  
5 with?

6 A. No, but, as Mr Gribble advised, it's within the context  
7 of what we're discussing.

8 Q. Absolutely, but have you dealt with a few other patients  
9 like D or many other patients? Tell us.

10 A. Yes, I have.

11 Q. Which, few or many?

12 A. Oh right, many.

13 Q. You go to say:

14 "It is true to say that in the majority of cases  
15 there will be no problems in management and the vast  
16 majority of cases are returned to optimal health within  
17 one year, after which it's usually only necessary to  
18 fine-tune the dosage, and many patients are able to  
19 fine-tune their own dosage. I am quite relaxed in  
20 general terms with the patient taking responsibility for  
21 her own medication."

22 You go on to say:

23 "It is sometimes inappropriate ..."

24 And you deal with one young man who was  
25 psychiatrically disturbed.

1           You go to say:

2           "The chronology of symptomatic improvement thus  
3 follows a general pattern with some variation from  
4 individual response to replacement and the dosage regime  
5 and particular type of thyroid preparation."

6           You go on to give an account as "a distillation of  
7 experience over many years".

8           You go on over the page, page 158, third line, to  
9 say:

10           "Interestingly, one of the first features to improve  
11 or disappear are side vision or floor hallucinations  
12 which can become quite infrequent by two weeks, often  
13 accompanied by other visual improvements in that some  
14 patients will say that a veil has been removed from  
15 their eyes or the world seems lighter and brighter and  
16 more colourful."

17           You go on to mention a third early improvement,  
18 page 159:

19           "... is more variable but in many patients who  
20 complain of constipation or constipation with diarrhoea  
21 ... it is not unusual for these patients to proclaim an  
22 improvement and sometimes for the first time in a life  
23 in unsatisfactory bowel habit. This is perhaps  
24 surprising ..."

25           You go on to talk about the intestine.

1           You say:

2           "It is usually welcome to the patient."

3           Then you go on to deal with other features that tend  
4           to show improvement, the emotional state of the patient  
5           and fewer mood swings, and you talk of headaches and  
6           patient's appearance starts to change.

7           Over the page, page 160, nearly halfway down:

8           "Most improvements occur at about four to eight  
9           weeks when the patient often notices that her skin is  
10          less dry and less flaky, particularly on the legs, and  
11          other related features like cracks on the heels start to  
12          heal. It is usually around this time that the patient  
13          notices she is thinking more clearly and can process  
14          information and hold information more easily and can now  
15          start to enjoy a book or watch a television programme  
16          without losing track."

17          You talk about six to eight weeks often a change  
18          in the patient's level of sociability, and then the next  
19          paragraph, improvement in swallowing and changes in  
20          voice. You deal with other changes, improvement in  
21          muscular strength and energy with improved stamina.

22          Over the page, a problem with weight or obesity, not  
23          usually resolved, in your experience, until the patient  
24          is euthyroid. Some patients do in fact gain weight on  
25          thyroid replacement, which is a favourable development

1 in thin, anxious hypothyroidism patients, arising from  
2 a general improvement in appetite and feeling of  
3 wellbeing.

4 You then go on to deal with loss or absence of  
5 libido, and the Panel will see what's said.

6 I don't think I need take the Panel to chapter 6,  
7 they can look at it, and you may be asked questions  
8 about it or the detail of it. It's headed "Difficulties  
9 in management of hypothyroidism".

10 You deal under various headings with non-response to  
11 medication with no evidence of thyrotoxicity, and then  
12 at 173 non response to medication with evidence of  
13 thyrotoxicity.

14 At 174 you break that down into thyrotoxiphobia, it  
15 being a possibility that the patient is so frightened of  
16 the medication that they interpret every life event to  
17 be a result of the medication.

18 On 176 you deal with "too much, too rich too soon  
19 back stacking of free thyroxine", which is a concept  
20 that the Panel have heard before.

21 At 177, halfway down the page, you talk of patients  
22 being rotten converters of T4 to T3 and thus the T4 back  
23 stacks. Again, I don't need to take you into it, you  
24 may be asked about it.

25 At 178 you deal with the concept of a coincident

1 undiagnosed condition. Again, I don't need to ask you  
2 about what is said, but the Panel will see that you have  
3 made some observations and you may be you'll be asked  
4 about them.

5 I then take to you 184, "Misdiagnosis". I want to  
6 return to misdiagnosis later, but let's just finish this  
7 chapter. Can I take you to 186.

8 Some six lines down the passage reads as follows:

9 "In summary, the most usual problem in management of  
10 hypothyroidism is therapeutic timidity arising from  
11 generalised fear of the medication and inexplicable  
12 belief in preordained levels of thyroid replacement and  
13 groundless faith in thyroid chemistry as a parameter of  
14 wellbeing in the treated patient.

15 "Abnormal adverse reaction of thyroid replacement is  
16 relatively unusual and is usually, but not always,  
17 a feature of rather anxious souls who interpret  
18 continuance of hypothyroid features during the early  
19 stages of treatment as adverse effects of thyroid  
20 replacement, for example headaches, insomnia,  
21 palpitations, and 'internal awareness'.

22 "The dangers of overreplacement have been  
23 exaggerated ad absurdum. In a sensible incremental  
24 regime a patient will never be seriously or dangerously  
25 thyrotoxic, and I have yet to encounter a patient who

1 had become thyrotoxic but had been keeping mum. You can  
2 be sure of it."

3 You then go on to say:

4 "Finally, I consider with extreme care the patient  
5 who fails to respond to thyroid replacement and seems to  
6 be slowly declining. In these circumstances I always  
7 contact the patient's family practitioner or specialist  
8 colleague for his/her feel of the patient to coordinate  
9 further investigation."

10 The last chapter, the faux pas of the decade, you  
11 are dealing with blood tests and is the thrust of it  
12 summed up at page 190 in bold capitals? Is the thrust  
13 of this chapter summed up in the passage in bold  
14 capitals at page 190?

15 A. Yes.

16 Q. I am grateful. I have no doubt you will be asked about  
17 the subjects dealt with in that chapter. But tell  
18 us: can you summarise your view of the usefulness of  
19 testing of patients' blood for measurement of T4, free  
20 T4 and TSH?

21 A. In the extremes of very low T4 or -- let me start again.  
22 If the thyroid chemistry is abnormal you will usually  
23 find the patient has a medical problem; not necessarily  
24 so.

25 I think the problem is the misassumption of the

1 reciprocal. But it has never been shown that if your  
2 thyroid chemistry is within 95 per cent reference  
3 intervals, you're well, and I think one could summarise  
4 the book basically asking for a trial, close scrutiny  
5 and debate, examining the question that normal thyroid  
6 chemistry -- I say "normal", I am bulldozed into saying  
7 that -- "reference", thyroid chemistry within reference  
8 intervals means you're well and not hypothyroid.

9 I say it's the faux pas of the decade, I think it's  
10 the faux pas of the last two decades, and it's something  
11 that is pursued with great enthusiasm and absolute  
12 assertion by colleagues who no doubt are working the  
13 patient's best interests into their beliefs but there is  
14 no evidence for it whatsoever.

15 Q. You have used the expression, I think in some of the  
16 correspondence that the Panel have seen, "blood tests  
17 are good servants but bad masters", what do you mean by  
18 that?

19 A. I mean that they shouldn't be ignored. No one has  
20 contended that they shouldn't be ignored, and you did  
21 quote "my old mum", but my old mum would come to the  
22 conclusion that, contrary to Professor Weetman's view --  
23 he says if a test is in or out, that is all he cares  
24 about, but if we take the reference interval from 10  
25 to -- let's say 10 to 22, it varies a bit, it doesn't

1 matter that much.

2 Q. Are you talking about TSH?

3 A. Sorry, let's talk about the thyroxine. It would stretch  
4 credulity that you would interpret 10.1 as the same as  
5 19.9, they're both within a reference interval, and if  
6 that were the correct approach, it would remove from the  
7 vocabulary words like "tall" or "small" or "heavy" or  
8 "light".

9 So 95 per cent interval includes 95 per cent of the  
10 sample population. It would be very, very unlikely that  
11 everyone would -- that whole 95 per cent of people would  
12 be the same.

13 So there are many cases, of course, where thyroid  
14 chemistry is useful, but I think my *cri de coeur* is that  
15 pivotal reliance on it, and indeed we have heard that  
16 some authorities or colleagues are essentially saying if  
17 the chemistry's normal, the diagnosis can't be made.  
18 I think that is probably an error and must be explored  
19 in many, many patients' future health.

20 Q. We know you take blood tests and the Panel have seen  
21 blood results that you've ordered. Why do you do it?

22 A. Partly because of what I've just said -- well, I can  
23 think of two examples that spring to mind. First of  
24 all, it's quite useful to have a baseline; that's quite  
25 sensible.

1           There is the possibility, of course, that you're  
2           wrong, you've got the thing completely wrong and the  
3           patient is in some -- you have misinterpreted symptoms  
4           and the patient's, let us say, thyrotoxic, but I think  
5           you have to be not quite with the world to do that, but  
6           in that case the thyroid chemistry might come back with  
7           a very high FT4, in which you would obviously reconsider  
8           the matter.

9           I find blood tests particularly useful -- mind you,  
10          this isn't the brief of discussion in patients who are  
11          hyperthyroid or thyrotoxicosis, I do find it much more  
12          useful in that.

13        Q. Right. You said in the passage that we read that you  
14          deal with 200 new patients a year, or did when this book  
15          was published in 2003.

16        A. Yes, I would say it's now -- I would say it's less now,  
17          but it's not wildly different.

18        Q. I understand. We know from the material that you have  
19          sent to the GMC that you're involved in a vaccine  
20          scientific company?

21        A. Yes.

22        Q. Is some of your time spent dealing with that?

23        A. Yes. I have clinics three days a week, sometimes on  
24          a Saturday.

25        Q. To see patients?

1 A. Yes, at this clinic.

2 Q. And is the rest of your time dealing with the vaccine  
3 work?

4 A. Yes.

5 Q. Right. If you see a patient for the first time --  
6 again, I don't think we've read that particular passage  
7 in the book, but if you see a patient for the first  
8 time, how long would you spend with them typically?

9 A. Typically, an hour.

10 Q. If it's a second or third or fourth consultation, how  
11 long typically would you spend with the patient?

12 A. Curiously, I thought it was typically half an hour, but  
13 it does seem to be longer than that, and I'm always  
14 getting into trouble for taking too long and rambling  
15 on, I think. I would say it's between 30 and 45 minutes  
16 for patients who are returning.

17 Q. Right. We know that the Louise Lorne Clinic is in  
18 Alcester Road in Moseley, Birmingham. Plainly you see  
19 patients there. Have you seen patients in other  
20 locations in the United Kingdom as well?

21 A. Yes. At the present moment we have an infrequent  
22 clinic, about six weekly, in the City of Glasgow.

23 Q. Right. Did you see patients in London at any time?

24 A. Yes. We used to have clinics in about six different  
25 locations in England. Shall I tell you why we changed

1           that?

2    Q.   Do.  Tell us where they were and then tell us why you

3           changed it.

4    A.   Stockport, Normington, the Leeds Normington, not the

5           Derby one, Sway, which is a wee place near Lymington

6           in the south of England, London, Stafford, and Chester.

7           The difficulty was not that there was any problem, and

8           it was very helpful -- the patients now troop to

9           Birmingham from Tyne and Wear, which is kind of daft

10          because we used to go to them.  The Commission of

11          Healthcare ask that you register your premises, which

12          wasn't a difficult task, but it was rather a long task

13          with a huge amount of documentation.  You've provided

14          a sample of it here.

15    Q.   This is because you're running a clinic?

16    A.   Yes, a private clinic.

17    Q.   Outside the National Health Service?

18    A.   Yes, exactly.

19    Q.   So you have to comply and be registered by the

20          Healthcare Commission?

21    A.   Yes.

22    Q.   We have seen some of the protocols in here, I think

23          there are quite a few more.

24    A.   Oh, books and books of intentions and things like that.

25    Q.   Let's not go into them unless we have to.  But you had

1 clinics in a number of locations?

2 A. Yes.

3 Q. And you then went on to say why you reduced the clinics.

4 A. Basically, the administration to become registered and  
5 a certain amount of cost, because they sometimes ask --  
6 well, in Birmingham they asked for some structural  
7 changes, moving sinks and things. It just wasn't  
8 feasible to do.

9 Glasgow, however, is in Scotland, and the Commission  
10 of Healthcare remit does not extend outside of England.

11 Q. They have different arrangements in Scotland, I think.

12 A. Fortunately, yes. It doesn't extend to Wales either.

13 Q. So, presently, you have clinics in Birmingham?

14 A. And occasionally in Glasgow.

15 Q. I understand.

16 What should we have as the typical picture as  
17 a referral? Of the four patients where complaint is  
18 made about you, we've seen a couple of cases where the  
19 GPs didn't want the patients to come, and we've seen  
20 certainly the first case where Dr Cooke wrote a letter  
21 of referral enclosing a history and enclosing, it would  
22 seem, letters from correspondence, Dr Smith, Dr Veitch,  
23 who were doctors who had seen that patient recently.

24 What's the typical picture, if there is one, of  
25 referral to you?

1 A. Am I allowed to gainsay something you just said?  
2 Is that in order?  
3 Q. Of course you can.  
4 A. I'm not quite sure what the evidence is that the doctors  
5 didn't want the patient to come. One doctor didn't and  
6 was very clear in his evidence.  
7 There was no evidence whatsoever that the other two  
8 doctors -- it was only one of these. Sometimes in  
9 retrospect GMC proceedings coming along, that might have  
10 been a slight influence.  
11 Q. Typical picture.  
12 A. Where were we, yes. The typical picture is we receive  
13 a letter from the family practitioner asking for an  
14 opinion. Occasionally other carers or specialists.  
15 Q. Have there been occasions in the past where you've come  
16 to the view, having spent a lot of time with the patient  
17 and reviewed the history, that this is not a case of  
18 hypothyroidism?  
19 A. Oh yes, yes. Or doubtful and requires more time and  
20 thought, in which case I write back to the family  
21 practitioner.  
22 Q. Have there been occasions when you have taken a history,  
23 in examining the patient, when you have had reason to  
24 suspect some other pathology, perhaps even serious  
25 pathology?

1 A. Yes.

2 Q. What's happened in those cases or that case?

3 A. Basically, I've gone back to the family practitioner and  
4 he has taken the problem from there on, usually with  
5 referral to another specialist.

6 Q. Right. If you did suspect some serious pathology, what  
7 are you, according to the Healthcare Commission,  
8 supposed to do if the patient's been referred by a GP?  
9 Can you send the patient off to your local hospital or  
10 their local hospital on the NHS?

11 A. Yes. It would be perfectly legal so to do. Perfectly  
12 legal, if you like, to short-circuit the family  
13 practitioner and send a patient into either -- for  
14 a private or NHS referral, there is no bar on that. But  
15 good practice and what I have always done is refer the  
16 patient to the family practitioner and request that he  
17 refers the patient to the appropriate specialist, and  
18 I can't recall a sort of problem, if you like, in that  
19 direction, the family practitioner saying: no, no,  
20 I don't agree, this is nonsense, or something.

21 Q. I understand.

22 But what importance do you place on keeping in  
23 contact with the general practitioner and notifying him  
24 or her of what's happening with the patient?

25 A. I think it's crucial to any medical practice, and

1           that is my invariant practice, to write back not only at  
2           every new referral, but every time the patient comes.

3    Q.   So we'll come on soon to the specific patients with  
4           which the Panel are concerned, but I'm still talking in  
5           general terms, if a patient is referred to you, do they  
6           make an appointment to come or can they just turn up?

7    A.   Now, let me think.  What happens is, when we get  
8           the letter of referral we contact the patient and  
9           explain what the clinic's about and then make an  
10          appointment.

11   Q.   When a patient comes, if, let's say, you have a letter  
12          of referral --

13   A.   We do.

14   Q.   -- and the patient comes in to see you, can you take us  
15          through what will happen as the consultation go?  We've  
16          seen what your book says.

17   A.   Yes.  The patient will be met by the receptionist who  
18          will offer the patient tea or coffee.  Then the patient  
19          will fill in a kind of: what's my address?  Where do  
20          I live?  And so on.

21   Q.   We'll see examples soon when we deal with the patients.

22   A.   Right.  Then in the last two or three years, to some  
23          extent motivated by the Commission of Healthcare's  
24          desire to see audits -- perfectly reasonable -- the  
25          patient will fill in a symptom sheet.  I think Patient D

1 was in the symptom sheet error. Perhaps Patient B was.

2 Then, let me think what happens then. I'm not

3 actually in the room at the time, and then the patient

4 will simply wait and then will come into the consulting

5 room.

6 Q. We have seen in your book your outline of what will

7 happen when the patient comes in. There is a discussion

8 of hypothyroidism, what it is. How will you start

9 things off?

10 A. I'm not awfully sure -- how do you mean, start things

11 off?

12 Q. The patient will could come in, you'll say, there will

13 be a greeting on each side, I'm sure?

14 A. Yes, yes.

15 Q. The patient will be asked to take a seat. Yes?

16 A. Yes.

17 Q. Where do you go from there, on the basis that you've got

18 some information about that patient, either the

19 questionnaire or a referral letter?

20 A. Well, the crucial then, which I then do, in which

21 95 per cent of diagnoses, in my view, and in the old

22 practitioners' view, will give you the diagnosis, is to

23 take the history. That is absolutely crucial. Without

24 that there is no medicine hardly. And having done that,

25 which takes up quite a large part of the consultation --

1 and some patients I have seen are having slight  
2 cognitive problems and can be -- I'm a little conscious  
3 I have got patients behind, but sometimes they can be  
4 a little rambling and they like to give quite a long  
5 account of matters.

6 Then I'll conduct relevant examination and then  
7 discuss what I think is the way forward.

8 Q. Can I ask you what you mean by "relevant examination"?

9 A. Well, I wouldn't, for example, unless there was specific  
10 indication, conduct a respiratory examination or an  
11 abdominal examination or a neurological examination  
12 unless there was something in the history; it's all down  
13 to the history, what the patient tells you. I do listen  
14 to every patient's heart.

15 Q. Do we see temperatures recorded?

16 A. Oh yes, the things I do do, I always -- every patient's  
17 temperature's taken, pulse taken, blood pressure,  
18 of course, and inspected for all -- well, the usual  
19 things. This is kind of medical student kind of  
20 stuff: skin texture, hair, size of tongue, is there any  
21 swelling over the thyroid gland, nails.

22 Q. Perhaps you've effectively given the answer, but if  
23 among the symptoms was a complaint about respiratory  
24 issues, would there be an examination of the respiratory  
25 system or not?

1 A. Yes, yes indeed. More commonly the patient needs  
2 abdominal examination --

3 Q. I understand.

4 A. -- than respiratory, really.

5 Q. If you come to the view that the patient may benefit  
6 from a trial of replacement therapy, if thyroxine is the  
7 drug that's to be used, what level of prescribing might  
8 you or would you start the patient on?

9 A. Right. If I start anyway the patient, my preference is  
10 not to do that. It's for the family practitioner to do  
11 that.

12 Q. Right.

13 A. If I do, I would start in almost all patients at  
14 25 micrograms per day for one week, and then  
15 50 micrograms a day for three weeks, and then  
16 75 micrograms a day for three weeks, and then  
17 100 micrograms a day for four weeks. Something like --  
18 and then I would normally try to see the patient or have  
19 the patient seen by the family practitioner in two  
20 months.

21 Q. The Panel saw -- can you turn to tab 2, please, in that  
22 bundle. Again we will come to the specific patients.

23 THE CHAIRMAN: Mr Jenkins, are we still in file 2?

24 MR JENKINS: We are, yes.

25 Dealing with Patient C, the Panel have the letter of

1 Dr Prentice at page 5, and it's his letter to Dr Ince.  
2 I think there was a letter written to the GMC as well,  
3 which the Panel have at page 6, written by Dr Cundy.

4 There was then your letter at page 7. The Panel  
5 have seen this before but they'll recall that in your  
6 letter of 18th June 2005, you say:

7 "I am disappointed that a practitioner, namely  
8 Dr Cundy with supportive evidence from Dr M Prentice  
9 have written to the GMC."

10 You go on that that paragraph to say:

11 "... with one contrived exception, every statement  
12 is untrue and would be known to be untrue if Dr Cundy or  
13 Dr Prentice had either contacted me or inspected the  
14 notes."

15 Over the page at page 8, at paragraph 4 you deal  
16 with the suggestion that you had started this patient on  
17 treatment at 150 micrograms of thyroxine a day; started  
18 treatment at that. You say there:

19 "... which I have never done in my professional life  
20 and didn't do with this patient."

21 Can I just ask: you have told us, if you do  
22 prescribe thyroxine for a patient, you would normally  
23 start at 25 micrograms for seven days and then  
24 50 micrograms for three weeks, may there have been  
25 occasions when you started a patient at a higher dose

1 than 25?

2 A. I think there's a few patients I may have started at 50.  
3 Usually if it's rather a heavy person, I've sometimes  
4 sort of felt that would be quite in order.

5 Patients historically were started at 100 micrograms  
6 per day. I don't think that's necessary to do that, so  
7 really -- but I have never, ever started a patient --  
8 I think about four occasions I've started at 50, never,  
9 ever started at 100, and to start at 150 would be quite  
10 wayward practice. I don't know where Dr Prentice got  
11 that from, not free in.

12 Q. Again, the BNF that we have seen, and the Panel have the  
13 extract, says under section 6.2.1 under the heading  
14 "Levothyroxine sodium":

15 "It is the treatment of choice for maintenance  
16 therapy, the initial dose shouldn't exceed  
17 100 micrograms daily."

18 Over the page, dealing with the same drug:

19 "Dose: adult, initially 50 to 100 micrograms.  
20 50 micrograms for those over 50, daily, preferably  
21 before breakfast."

22 Was your prescribing in line or not in line with  
23 what was suggested in the --

24 A. In the BNF? It looks in line.

25 Q. If you were treating a patient on thyroxine, tell us

1           what would you plan the prescribing to be? Starting off  
2           at 25 for seven days, then to 50 for three weeks?

3    A.   (Witness nods).

4    Q.   Would you plan that there should then be a continual  
5           increase?

6    A.   Yes.

7    Q.   To 75 and then 100?

8    A.   Yes.

9    Q.   And what's your view about whether there should be  
10           a review within that period of prescribing or any blood  
11           tests before the dose is increased?

12   A.   I think to review the patient at two months and advise  
13           the patient that to let either myself or the family  
14           practitioner know if there are any problems, would be my  
15           strategy there.

16           Then, normally speaking, I would say about three or  
17           four months after that, it depends very much on your  
18           assessment at two months.

19   Q.   Would this be something you would deal with in the  
20           initial consultation, set out what is proposed?

21   A.   Yes, indeed. If it is proposed, absolutely, and  
22           patients will always ask you that anyway.

23   Q.   What conversation, if any, would there be with the  
24           patient about possible adverse consequences or side  
25           effects of the medication?

1 A. That's always discussed.

2 Q. When you say that's always discussed, tell us what you  
3 say typically?

4 A. Typically -- in fact typically the patient will --  
5 I cannot think of a patient who has not asked that of  
6 you anyway. I would, generally speaking, de-emphasise  
7 the dangers of the medication because the patients have  
8 often been wound up to a very anxious state about it.

9 I would indicate that -- I think the crucial message  
10 to the patient there is if she or he feels any adverse  
11 unusual effects, to let somebody know. Rarely does one  
12 see a patient that hasn't read that she will not get  
13 osteoporosis or atrial fibrillation or suppression of  
14 the thyroid gland and be fully cognisant of this  
15 perceived problem. So that is what I would do.

16 Q. Contact somebody if there is an adverse effect or side  
17 effect?

18 A. A family practitioner or myself.

19 Q. What contact details would the patients have for you?

20 A. My phone number.

21 Q. Is that the practice phone number?

22 A. The practice phone number and some patients phone me on  
23 my mobile phone. You've actually rather caught me  
24 unawares here. I'm not quite sure if I provide patients  
25 with that. I do get barraged on my mobile phone.

1 Q. Do you know how they get hold of the number? If they  
2 phone out of hours, is there an answerphone message?

3 A. Yes, there is.

4 Q. Do you know what information the answerphone message  
5 gives?

6 A. I don't think it gives a phone number out of hours. If  
7 they phone -- I'm not quite answering your question.

8 If they phone during the day, my receptionist will  
9 give them my mobile phone if I'm in Timbuktu or  
10 Manchester or something like that. This was -- we had  
11 very long discussions with the Commission of Healthcare  
12 on this particular point. Their view was in a sort of  
13 secondary referral situation. Now, I quite understand  
14 in days gone by if there was an occasional patient that  
15 hadn't been referred, then there could be a problem with  
16 a patient phoning a family practitioner and saying: I've  
17 got something wrong with me; and he said: I didn't even  
18 know you were seeing Dr Skinner.

19 But in a secondary referral their feeling was it  
20 wasn't necessary to provide 24-hour emergency cover.

21 Q. But if a patient phoned during surgery hours, they would  
22 be given mobile phone number?

23 A. Yes.

24 Q. And if a patient were to phone you on your mobile phone,  
25 would you deal with the call?

1 A. Yes, of course.

2 THE CHAIRMAN: Mr Jenkins, can I ask you --

3 MR JENKINS: Now is a convenient moment, madam, I'm going to  
4 move on gradually towards the patient, but I recognise  
5 we are approaching a time when we would normally take a  
6 break, and now is an entirely convenient time.

7 THE CHAIRMAN: Excellent, thank you. We will break then  
8 until 11.35, please.

9 (11.12 pm)

10 (A short break)

11 (11.35 am)

12 THE CHAIRMAN: Mr Jenkins?

13 MR JENKINS: We were dealing with a situation where you had  
14 planned out, Dr Skinner, a proposed regime of thyroid  
15 replacement for a patient. You told us that at the  
16 first consultation you would set out for the patient  
17 what was planned, namely starting for seven days at  
18 25 micrograms, going to 50 micrograms then for three or  
19 more weeks, and gradually increasing the dosage.

20 A. Yes.

21 Q. We know that patients could contact you if there were  
22 any problems. But in what circumstances would there be  
23 a review of the patient?

24 A. Beyond the planned -- or as I said, two months, and  
25 normally speaking, about three or four months after

1           that. Each follow-up consultation is a review.

2    Q. I understand. Were those always face-to-face, the

3           follow-ups?

4    A. Oh yes.

5    Q. Was it left to the patient to organise the appointment

6           or would you or your staff contact the patient? How was

7           it done?

8    A. The next appointment is made when the patient's

9           completed the consultation.

10   Q. I understand.

11   A. At the time.

12   Q. So let's imagine you've spent an hour or so with

13           a patient at their first consultation. If you are

14           writing a prescription for the patient, how would that

15           be done? We've seen some typed and some handwritten.

16           How would it be done?

17   A. Since the last few years it's always handwritten, and if

18           it's typed -- sorry, did I say -- I can't remember what

19           I said there.

20   Q. I will remind you. You said for the last few years it

21           was always handwritten?

22   A. That was diametrically opposite of what I was trying to

23           say. It's always typed in the last two to three years,

24           and this receptionist lady will type it out. She will

25           give the patient a copy and a copy for the notes.

1           That's the present strategy with that.

2   Q.   How will the receptionist know what to type out?

3   A.   Because I will then go and tell the receptionist.

4   Q.   Imagine the consultation is finished, you've finished

5       discussing matters with the patient, and any

6       examination, you've recommended that there should be

7       a regime of prescribing. How do you tell the secretary

8       what to type up on the prescription? Do you leave the

9       room? Do you phone through?

10  A.   I leave the room and go through to where she sits and

11       tell her.

12  Q.   Right. Is there a pro forma that's half completed,

13       which the secretary completes, or does she type the

14       whole thing out on headed paper? How is it done?

15  A.   We are not terribly computerised, but we do have on the

16       computer certain standard prescriptions. The most usual

17       one being thyroxine, on the regime that I have

18       heretofore described to the Panel.

19           That's -- so the secretary then -- I don't know how

20       you do it, but she does something on the computer and it

21       appears as a typed prescription which I then look at and

22       sign.

23  Q.   Right. Is the patient still in the consulting room or

24       has she come with you out into the reception area at

25       this stage?

1 A. The patient will have returned to the reception area.  
2 She's not, however, in earshot, so to speak, of myself  
3 and the receptionist.

4 Q. I understand. Now, plainly you're not treating patients  
5 on the National Health Service and you charge a fee for  
6 an initial consultation.

7 A. Yes.

8 Q. A rather lesser fee for a follow-up consultation.

9 A. Half the fee.

10 Q. How is that fee taken from the patient, and at what  
11 stage?

12 A. After I've dealt with the prescription, what I would  
13 normally do then is return to the consulting room and  
14 dictate the letter on -- the letter to the referring  
15 practitioner, and then the fee is collected by the  
16 secretary from the patient; usually there and then,  
17 sometimes not.

18 Q. How are patient records kept? We've seen the type of  
19 records that you have, we will come to look at the  
20 specific ones for the four patients very soon, but where  
21 are the records kept?

22 A. In locked filing cabinets.

23 Q. In your room, I think.

24 A. Yes, in the consulting room, yes. This again is advice  
25 from the Commission of Healthcare.

1 Q. Right. But again coming back, when the patient is given  
2 a prescription, if they are, at the end of the  
3 consultation, they'll be asked to make the appropriate  
4 payment, and any further appointments are arranged at  
5 that point?

6 A. Correct.

7 Q. Right. Can I come on to the general nature of the  
8 patients that you dealt with.

9 A. Yes, I'll try.

10 Q. You're not a GP.

11 A. No.

12 Q. Patients, certainly the ones the Panel have heard about,  
13 some of whom have heard your name through others.

14 A. Yes.

15 Q. One of them heard through her mother's friend, for  
16 example.

17 A. Right.

18 Q. If there is a typical case, what is the sort of history  
19 that the patients have before they come to you, so far  
20 as treatment is concerned, and their dealings with other  
21 medical practitioners?

22 A. I would say about 60 per cent of the patients are,  
23 of course, already on treatment.

24 Q. Yes.

25 A. And they have been sent to me, because the treatment's

1 not working, for advice on the dosage level, or perhaps  
2 a different preparation, perhaps because the diagnosis  
3 itself is under some scrutiny.

4 The background of these patients is usually that  
5 they have returned to the family practitioner, not in  
6 a confrontational sense, and said: I still don't feel  
7 well. And they may have heard of me through some  
8 mechanism or other and asked to have a referral to me.  
9 I suppose that is probably the most common sequence of  
10 events prior to the patient coming.

11 Q. So a patient's already on treatment under the care of  
12 other medical practitioners?

13 A. A fair proportion, I would think more than half.

14 Q. What about the other types of patients?

15 A. Well, these are new, what you might call new referrals,  
16 who are not taking medication.

17 Q. Right.

18 A. They will have the same sort of background, they may not  
19 have been feeling well for some time and they feel that  
20 they're not progressing, either under the family  
21 practitioner's care or sometimes they haven't agreed  
22 with the view of the endocrinologist or other  
23 specialists that they may have been referred to, hence  
24 they don't feel satisfied with their state of health.

25 Q. I understand. What sort of numbers of patients are we

1           dealing with in that category? Are we talking about  
2           tens of patients, scores, or hundreds?

3    A.   I think I would do about a thousand consultations in  
4           a year, approximately, and about 200 of these are new --  
5           something like, are new patients.

6           So there is probably less last two years. So  
7           in that 200, about a 100, let's say, patients are on  
8           medication.

9    Q.   Right.

10   A.   So then there is another 100 patients in the year, but  
11           I think perhaps less, it seems a lot, who are not  
12           receiving any thyroid replacement, so they're coming for  
13           advice on what might be wrong with them.

14   Q.   So of the patients who come to you, about 60 per cent  
15           will be on treatment?

16   A.   Yes.

17   Q.   Is that thyroid replacement therapy?

18   A.   Yes, that's what I meant by saying that.

19   Q.   So a diagnosis has already been made by some other  
20           medical practitioner, is that right?

21   A.   Absolutely.

22   Q.   And of the other 40 per cent or so patients that you may  
23           see, they are new to you but may there be some of those  
24           who aren't referred by their GP?

25   A.   No. No, in the last three years or since instruction or

1 advice from the General Medical Council and my  
2 conditional registration, none, and very rarely before  
3 that.

4 Q. I just want to make that clear. The Panel have seen  
5 letters from Sue Conway a little over a week ago.  
6 Sue Conway had written letters in, I think, 2005, 2006  
7 to the GMC, and those were in anticipation of Interim  
8 Orders Panel hearings.

9 A. I think so.

10 Q. Yes? Again, Mr Kark I think knows that I'm about to say  
11 this: the Interim Orders Panel imposed some conditions  
12 upon you to ensure that you did keep contact with GPs  
13 and only accept patients with a referral from GPs.

14 A. Yes, the latter assurance was redundant as I always had  
15 done anyway, in fact both, if I may say so.

16 Q. I understand, but of those patients who are new to you,  
17 where the diagnosis hadn't already been made of thyroid  
18 problem, how many of those, roughly, will be patients  
19 where the GP is asking for your help with a thyroid  
20 problem or where the patient has said to the GP: I'd  
21 like to see Dr Skinner because I, the patient, think  
22 it's a thyroid problem and the doctor isn't agreeing?  
23 Are you able to break it down for us?

24 A. You mean between the two?

25 Q. Yes, between those two.

1 A. Well, that's a difficult question because the patient  
2 will have said this to the family practitioner before  
3 the patient came to see me.

4 Q. Sure.

5 A. And the family practitioner's unlikely to write a letter  
6 saying that, well, perhaps: I have been persuaded by the  
7 patient. That would be not really good practice, that  
8 this patient could come and see you. So to some extent  
9 you're asking a question that I can't answer.

10 Q. What I'm trying to elicit is what degree of selection  
11 has been made of the patients to come and see you?

12 A. Oh, massive.

13 Q. And who has done the selection?

14 A. There is a huge selection, it's a highly selected  
15 practice, because, for example, no patient de facto --  
16 this is a matter I feel, I hope -- it sounds a bit  
17 superior, I don't think it has been made very clear to  
18 the Panel during the last week, I've seen no patients  
19 with what is called subclinical hypothyroidism, because  
20 that means essentially there is a bit of humming and  
21 hawing about it, but essentially means patients that  
22 feel well and their thyroid tests are not right or not  
23 within 95 per cent reference intervals.

24 Q. Again, subclinical hypothyroidism means that their  
25 biochemistry results fall outside the reference range.

1 A. Yes.

2 Q. But they don't have any clinical signs.

3 A. Correct. That is the strict definition, and I am moved  
4 to say that much we heard last week seemed to be  
5 irrelevant to the outlines which Mr Gribble kindly gave  
6 us at the beginning, which seemed to be focusing on the  
7 four cases, who are precisely the opposite problem.  
8 These are patients with clinical features and  
9 unremarkable thyroid chemistry.

10 So the latter group of subclinical patients are  
11 simply not going to appear in my practice, because the  
12 patient's not going to the family practitioner and  
13 saying: I think my thyroid chemistry is out but I feel  
14 wonderful.

15 Q. I understand.

16 A. Right.

17 Q. So of the new patients that you see, what proportion of  
18 those will have normal thyroid chemistry or, I say  
19 normal, forgive me, chemistry within the reference  
20 range.

21 A. I would say, and this is right off the top of my head,  
22 about 80 per cent.

23 Q. Right.

24 A. It's the usual situation, why there has been some  
25 difficulty in perhaps a patient obtaining the treatment,

1 the patient feels that it should have.

2 Q. Again, perhaps it's obvious from what you've said and  
3 what we've seen that you have written, what are you  
4 seeking to do with those patients if they have signs and  
5 symptoms indicative of hypothyroidism but normal --  
6 reference range chemistry?

7 A. The first critical thing, as I've said before, as Sir  
8 William Osler emphasised time and time again: are the  
9 clinical features typical of hypothyroidism? As most  
10 practitioners and most medical textbooks would --  
11 I would contest the contention that has been advanced  
12 that if you take the whole set of symptoms, that there  
13 is much dubiety.

14 Obviously we know one individual symptom, tiredness  
15 can -- a thousand reasons why somebody's tired. So  
16 that's the most important part of the consultation, is  
17 to examine the typicality of the patient's clinical  
18 features, their physical examination, and then if the  
19 patient's thyroid -- whatever the patient's -- well,  
20 that is too extreme.

21 If the patient's thyroid chemistry is within  
22 reference limits and the patient's clinically  
23 hypothyroid, it's my belief that patient should be given  
24 thyroid replacement.

25 Q. Is that as a trial or as maintenance therapy?

1 A. I hope it won't irritate you, but in a sense all medical  
2 treatment is a trial. It would only be a wayward,  
3 careless, irresponsible, unprofessional medical  
4 practitioner who would start a patient on anything and  
5 say: we're not going to see what happens. So the  
6 patient comes back at two months and you assess the  
7 situation. It's a trial in that sense.

8 Q. I understand. What you seeking to do long-term, so far  
9 as the patient is concerned? Render them euthyroid but  
10 what does that mean, in terms of the patient?

11 A. I think there are two aspects to that. First of all, if  
12 you have euthyroid, you feel well and you feel awful if  
13 you're not. But a second side of this, which has been  
14 very underemphasised in the proceedings so far, in my  
15 view, is that the risks to your health long-term of  
16 being hypothyroid are appalling, particularly cardio --  
17 again, one of the most underemphasised aspects is  
18 cardiovascular problems. Cholesterol is high, with deep  
19 position of atheroma, relationships collapse. Many  
20 patients not only have no libido, they don't want to be  
21 touched at all, children, grandchildren, they want  
22 everyone to stay away, become very emotional and weepy,  
23 we saw that with Mrs D.

24 Q. Forgive me, you were talking about the health risks.

25 A. These are health risks.

1 Q. Indeed, but the health risks of a hypothyroid patient.  
2 One is cardiovascular disease.

3 A. Most certainly.

4 Q. One is cholesterol, which is involved perhaps in  
5 cardiovascular disease, heart disease.

6 A. Yes, I think most people.

7 Q. You then went on to the social aspects.

8 A. Yes, I was not sort of prioritising, but social aspects  
9 are very important. Many patients become recluses.

10 Q. Recluses?

11 A. Recluses. They won't go out of the house, won't answer  
12 the door, won't pack a suitcase, can't do anything.  
13 Family relationships suffer, social relationships  
14 suffer, marital breakdowns, a problem for various  
15 reasons, and of course, you get intestinal problems  
16 which long-term are disastrous, IBS, constipation.  
17 There is evidence that a quite high proportion of  
18 patients become B12 deficient. This is not pernicious  
19 anaemia as was alleged last week. The list is endless.

20 Q. Right.

21 A. Death, of course, is the final end point.

22 Q. Yes. In terms of risk that you might introduce by  
23 treating a patient, what is your assessment of the risks  
24 in putting a patient on thyroid replacement therapy?

25 A. If you treat -- if you have an incremental strategy of

1 dosage in the short-term, you're never -- anything  
2 significant above a level that was the patient felt all  
3 right at, because the patient, let's say, was at  
4 50 micrograms, if they go to 75 micrograms and they  
5 develop some adverse effect, it is never going to be  
6 serious because you've gone up in a measured fashion.

7 Long-term detriment, I think the evidence is very,  
8 very insecure, and it certainly -- I'm sure Dr Hetroghe,  
9 an expert, will go into this. It's nothing like the  
10 detriment of being left hypothyroid.

11 The comparison is just -- and it almost stretches  
12 common sense or credulity that a patient who is sitting  
13 around, like Mrs D, as she said, she spent all day  
14 sitting on the sofa, will in ten years be in better  
15 health than a patient who is active, taking exercise,  
16 not worrying about every article of food, because a lot  
17 of hypothyroid patients do. I think it's not even common  
18 sense.

19 Q. I understand. Well, the evidence for osteoporosis or  
20 osteopenia, what evidence is there, so far as you're  
21 aware, that patients may suffer reduced bone mineral  
22 density from thyroid replacement therapy?

23 A. I think it has been shown to be not there, basically.  
24 Professor Franklyn, who works in Birmingham, was quoted  
25 in another context, I think it was part of a meta

1 analysis, which was actually to do with subclinical  
2 hypothyroidism again. Wrong subject. She has  
3 published, saying that there isn't a risk of  
4 osteoporosis.

5 Q. Again, it comes back to the questions asked of Mr Lynn  
6 yesterday. Are you aware of a study dealing  
7 specifically with patients on thyroid replacement  
8 therapy and the risks of reduced bone mineral density or  
9 some kind of osteoporosis or osteopenia problem?

10 A. Yes, I think there are some people who have alleged this  
11 and the literature is mixed. But I think the body --  
12 I feel I'm going to irritate you slightly. I think  
13 the --

14 Q. Don't worry about me. Worry about you and the evidence  
15 you are giving?

16 A. All right. Thank you, Mr Jenkins.

17 The critical point is not to stray out of  
18 Mr Gribble's instructions, injunctions, I'm not quite  
19 sure what you say. There has never been a study on  
20 osteoporosis in the patients who are the focus of this  
21 hearing.

22 Q. I understand.

23 A. Completely different.

24 Q. What about the risks of atrial fibrillation and any  
25 consequence there might be?

1 A. I think the same considerations apply. Even perhaps the  
2 leading paper, if you like -- there is a paper by  
3 a colleague called Sawin, S-A-W-I-N, and that is  
4 generally flagged up as: look at these risks. Again,  
5 not in the patients, the focus of this hearing. I'm  
6 sorry to keep reiterating that.

7 But even the evidence he gives, which really only  
8 applies to over 60 year olds, is quite marginal.  
9 I think the difference is something like 9 per cent and  
10 22 per cent of people as a comparison of those treated  
11 and not treated.

12 But many of these studies are fatally flawed, in my  
13 view, because they don't know what the patients were  
14 like who were being followed up. My gut feeling is that  
15 quite a number of them have not been adequately treated  
16 and they are still hypothyroid, certainly from my  
17 experience in 2007 in the UK.

18 Q. Right. Atrial fibrillation, if the patient were to  
19 experience that, how would it present itself to the  
20 patient? What would they feel?

21 A. Most patients would be aware of it. I haven't -- I'm  
22 not saying it doesn't happen. I have never in my many  
23 years of practice come across a patient who I happened  
24 to take the pulse rate and find they were fibrillating,  
25 but other colleagues in cardiology may well have found

1           that. The patient is usually aware of not feeling  
2           awfully well -- I know that sounds a bit vague -- and  
3           sometimes patients can feel their heart beating  
4           irregularly.

5   Q. In one of these patients you, in your notes, refer to  
6           palpitations?

7   A. Yes, I think that is a different thing.

8   Q. We will come to the specific patient, but why is that  
9           you've made any record of that, in your notes?

10 A. The patient said that she had, about a week ago,  
11           I wasn't there, 3 o'clock in the morning, felt her heart  
12           beating strongly.

13 Q. Why might it be relevant for you to make a note of it?

14 A. Because -- and it would be unusual to be an isolated  
15           symptom. If indeed you've been made thyrotoxic, one  
16           feature of that would be a tachycardia.

17 Q. Yes.

18 A. That's why. And an extreme dose of what you would call  
19           poisoning, not overdose, I would say you could get  
20           atrial fibrillation, I'll accept that.

21 Q. On any follow-up consultation, after the first  
22           consultation, what would you be interested in, so far as  
23           the patient's signs and symptoms were concerned? My  
24           question really is: are you monitoring for that?

25 A. Absolutely so. Absolutely. Both in physical

1 examination and the patient's history.

2 Q. If it appeared that there may be consequences or side  
3 effects from the medication, what would you do?

4 A. If there seems reasonable evidence that is to do with  
5 the medication, what I do is I ask the patient to  
6 totally stop their medication for perhaps four or five  
7 days and then talk to the patient again.

8 Q. What's your assessment of the risk that you're perhaps  
9 exposing the patient to, if there is a possibility of  
10 atrial fibrillation? Are they going to drop dead, or  
11 what other consequences might there be for the patient?

12 A. I think you have to be cognisant of every risk.  
13 I think, given the practitioner is behaving in  
14 a relatively responsible fashion, I think there is  
15 virtually no risk. Nothing has no, no, no risk.

16 Patients can die of atrial fibrillation and do  
17 anyway, and some patients will obviously develop atrial  
18 fibrillation if they're on thyroxine treatment, which  
19 are going to include 20 million people on the earth.

20 Q. What do you envisage would be the long-term prospects  
21 for a patient who came to see you with signs and  
22 symptoms of hypothyroidism; you would wish to render  
23 them euthyroid?

24 A. (Witness nods).

25 Q. Does that mean biochemically as well as symptomatically?

1 A. No. It means that the patient has no symptoms  
2 remaining.

3 Q. Is there a level of TSH on a blood test that you would  
4 not be comfortable with?

5 A. No.

6 Q. What do you anticipate would be the course of your  
7 continuing dealings with the patient? Would it continue  
8 for life? We've heard the suggestion that once you  
9 place a patient on thyroid replacement therapy, that's  
10 it, they're with you for life.

11 A. That seems rather against the evidence of one of the  
12 patients, who apparently has stopped it. The answer to  
13 the second part of your question is: no, there is no  
14 question of it being addictive.

15 Patients do sometimes decide they'll stop it.  
16 Sometimes because they have been terrified by my  
17 colleagues.

18 The answer to the first question is: the patient  
19 should remain under the care of the family practitioner  
20 thereafter, and that's what we strive for. Long-term,  
21 if that's the question, I think a patient need only be  
22 seen once a year, but given a green light, so to speak,  
23 to contact the family practitioner, which should be the  
24 case anyway if she feels any abnormal effects related to  
25 the medication.

1 Q. You touched on one subject there that I would like to  
2 ask about. We've been given the suggestion that the  
3 patient may get a buzz or may get a high out of taking  
4 thyroid replacement therapy. Perhaps those are my words  
5 rather than those of the witness who referred to it, but  
6 what do you say about that, patients who are given  
7 thyroxine or other forms of replacement therapy? What's  
8 their experience of it?

9 A. I think there is a confusion here. Some patients may  
10 get a high or a buzz, because they have been feeling  
11 absolutely awful for 30 years and they see a chink of  
12 light that they are going to return to optimal health.  
13 Now, any person would feel that way. The suggestion  
14 that was mooted that it's addictive or patients get  
15 a euphoria or something like that is, in my view, not  
16 correct.

17 Q. What about the suggestion that there may be a drive for  
18 the patient to ever increase the dose they are taking?  
19 Has that been your experience?

20 A. Yes, I think there are some patients who would wish to  
21 do this. There are equally patients that want to reduce  
22 their dose.

23 I think the answer to that is, you simply tell the  
24 patient that you don't agree with that and you're not  
25 prepared to prescribe more. It seems to me something of

1 a non-problem that has been flagged up in these  
2 hearings, almost as if the family practitioner or doctor  
3 has somehow become impotent in the matter of --  
4 Professor Franklyn, I don't know what that was all  
5 about, but she seemed unable to say: I deem you not to  
6 be hypothyroid, therefore, I'm going to advise the  
7 practitioner to stop your medication.

8 Q. The last issue I want to ask you about, before we move  
9 to the specific patients, is that cost, cost of the  
10 medication.

11 A. That's beyond my remit, Mr Jenkins.

12 Q. It is? Then I will not ask.

13 Let's turn to the patients, and I'm going to ask you  
14 to take up, please, the first bundle and invite you to  
15 turn, please, to tab 2.

16 The typed version of your notes, the transcribed  
17 version that we have on the front page, is  
18 a transcription of your handwritten notes at page 12.

19 A. Yes.

20 Q. What we've seen by way of history is at page 9 and 10  
21 a referral letter from Dr Cooke, the GP, setting out  
22 some history, as he indicates in the letter, and he  
23 refers to the referral to Dr Smith, a physician, towards  
24 the bottom of page 9, refers to the referral to  
25 Dr Veitch over the page, page 10.

1           Included in these notes at tab 2, which are the  
2 notes you produced to the General Medical Council, were  
3 letters from Dr Smith and Dr Veitch. Do you have at  
4 page 3 Dr Smith and page 4, and Dr Veitch is page 5, 6,  
5 7 and 8?

6           We know Dr Cooke wrote to you because we've looked  
7 at the letter. Are you able to tell us how this  
8 correspondence from Smith and Veitch came to be in your  
9 notes?

10 A. I don't remember how it got into my notes.

11 Q. Well, do you recall if you were sent this correspondence  
12 by Dr Cooke?

13 A. Yes. If that's what you're asking.

14 Q. If we turn to page 11, we have the questionnaire  
15 completed or the details, rather, completed by  
16 Patient A.

17 A. Yes.

18 Q. Would that be on the day she came to see you, on  
19 16th January 2003?

20 A. Yes, that's what the patients are asked to complete.

21 Q. I think if we turn to page 13, we can see your statement  
22 of account for seeing her on that day and the blood  
23 tests that were ordered, and on page 14 we see the copy  
24 of a prescription that was kept in the records.

25 A. Yes.

1 Q. Perhaps I should take you to the notes. Just take us  
2 through, if you would, the complaints that this lady  
3 had.

4 A. Well, they are as indicated in my letter.

5 Q. Page 15?

6 A. Page 15 and in the summary -- the typed account of my  
7 notes, which I have provided --

8 Q. At the front of the tab.

9 A. Yes.

10 Q. The front of tab 2.

11 A. Yes. In brief, I thought these were reasonably typical  
12 of hypothyroidism.

13 Q. Again, you've given a cluster of complaints from the  
14 patient: feeling knackered, flat and lifeless. You talk  
15 about here sleep pattern. Scattered aches and pains,  
16 tightness of hands, brain in slow motion, forgets name,  
17 side vision hallucinations that we dealt with, asocial  
18 and weepy, paraesthesia of hands and feet. You deal  
19 with no libido, blurred vision, looking through  
20 smokescreens through a veil.

21 You have indicated she had glandular fever in the  
22 past and sees a reflexologist, and the name is given,  
23 and there is then an examination.

24 A. Yes.

25 Q. We heard Professor Weetman's criticism of your

1 examination, saying effectively you should have sent her  
2 to a neurologist because of the paraesthesia of hands  
3 and feet, you should have sent her to an ophthalmic  
4 practitioner because of the side vision hallucinations,  
5 and other concerns that he made.

6 What do you say about the extent of the examination  
7 of this patient?

8 A. Well, de facto, I obviously don't agree with that or  
9 I would have done it. I think to take an isolated  
10 symptom and send a patient to every specialist that  
11 might be involved in that is not even consonant with  
12 good or any medical practice. I'm astonished.

13 If a patient came with one complaint, for example,  
14 perhaps paraesthesia, you might think a neurological  
15 opinion, but you have to see the patient's  
16 symptomatology as a whole, and as a whole I think most  
17 practitioners would agree that it should be a strong  
18 suspicion of hypothyroidism here.

19 Q. You go on in the note of the examination to record her  
20 pulse as 56 a minute. Just tell us, is that normal, is  
21 it high or low?

22 A. It's lowish, I think. 74 would be the sort of average.

23 Q. You go on to talk about her voice being hoarse, has  
24 a yellowish pallor, loss of outer half of eyebrows,  
25 tongue slightly enlarged, eyes slightly bloodshot, skin

1 dry with cracked heels, thyroid palpable, blood pressure  
2 105 over 60.

3 Tell us about the blood pressure. Where's that as  
4 against a normal scale?

5 A. It's a little lowish, but again, I don't think any  
6 practitioner would institute investigation of blood  
7 pressure at that level.

8 Q. What about "thyroid palpable"? What does that refer to?  
9 You clearly were palpating the thyroid?

10 A. Yes.

11 Q. What does it indicate in your note? What we have,  
12 if we look at page 12, is just "thyroid (+)"?

13 A. Yes.

14 Q. Is that right?

15 A. Yes, it's medical shorthand, which we've been  
16 discouraged from as the years go by.

17 The sort of view of the thyroid, as you -- some old  
18 physicians used to say that you can just not feel it or  
19 you can just nearly feel it. In fact, a palpable  
20 thyroid can mean absolutely nothing. You can have  
21 a goitre and be in perfectly good health, that was not  
22 the case, of course, with this patient.

23 What it means is that I could feel the thyroid  
24 gland, and as I haven't made any other comment, it means  
25 I didn't think there was any reason for alarm or

1 referral to someone, perhaps like Mr Lynn, who would be  
2 the next port of call in a sense.

3 Q. Again, the suggestion is that you carried out an  
4 inadequate examination for this patient. You're  
5 entitled to give your own response. What do you say?

6 A. I don't think it was inadequate.

7 Q. We then turn to your letter, where you thank the GP very  
8 much and talk of the patient's six-year history of utter  
9 fatigue, and you refer to:

10 "... occasional side vision hallucinations which  
11 I find to be quite common in hypothyroidism."

12 And you say on the third line of the first  
13 substantive paragraph:

14 "All in all it sounds rather suspicious of  
15 hypothyroidism."

16 You go on to deal with the other matters that you  
17 found on examination.

18 You say:

19 "Perhaps B12 deficient."

20 What does that mean? Does it mean that you believed  
21 that she was?

22 A. This is difficult, and it's something that I think  
23 Mr Lynn properly referred to. There is in all  
24 professions an element of shorthand and  
25 interprofessional understanding which -- I know

1 Professor Weetman gainsaid that notion.

2 It means that you're asking the GP -- you're saying  
3 to the general practitioner: this is something worth  
4 thinking about. You're not saying that the patient's  
5 about to perish with pernicious anaemia, which seemed to  
6 be the interpretation. You're saying: here is something  
7 we should think about.

8 The family practitioner, who is also -- it sounds  
9 facetious, it's not supposed to, who is also a medical  
10 practitioner and capable and well capable of critical  
11 appraisal, he may think it's not critically important.  
12 That's what perhaps B12 deficient -- I think it's almost  
13 got a meaning in the profession not quite the same as  
14 out of the profession. I hope I'm not confusing people.

15 Q. You then go on to refer to:

16 "I know she has had one or two highish TSH readings  
17 but unfortunately nobody seemed to be carried out  
18 an FT4, which would be at the laboratory end of things  
19 ... More and more laboratories are refusing to carry out  
20 this extremely helpful test. I have in fact taken  
21 a blood sample of the thyroid chemistry results, which  
22 I should have in ten days' time."

23 Can I take you back, please, to Dr Cooke's letter --

24 A. May I interrupt?

25 Q. Of course.

1 A. "Highish TSH readings" is nonsense. I don't know why  
2 I wrote that.

3 Q. All right.

4 A. There are no -- on no scale would they be considered  
5 high. I do apologise, I don't know what that's about.

6 Q. How do you create these letters? Do you dictate them or  
7 type them?

8 A. I don't know how to type. I put them on to  
9 a dictaphone.

10 Q. Just for the sake of completeness, can I take you back  
11 to page 9 in that same tab. We have readings for TSH,  
12 one in July 2001 and another one in May 2002, not  
13 highish.

14 A. Above the average, but not -- I think "highish" would be  
15 a misleading term there. I don't know why I wrote that.

16 Q. All right. But you say:

17 "I really thought there was a good case for  
18 institution of thyroid replacement, having taken a blood  
19 test, and I have laid out a programme of thyroxine  
20 replacement at 25 micrograms a day in one week  
21 proceeding to 50 micrograms a day for three weeks.  
22 I have in fact given the prescription to the patient so  
23 she can get on the road, so to speak.

24 "I do not know if you would be willing to continue  
25 this prescription at 75 micrograms a day for three weeks

1 proceeding to 100 micrograms for a further four weeks  
2 and I will see the patient in two months' time."  
3 That letter plainly typed up the day after you saw  
4 the patient?  
5 A. Yes, that is right. I have found it. 17th January,  
6 thank you.  
7 Q. And we then have on the following page, page 16,  
8 the results of the blood test that you instituted.  
9 A. Yes.  
10 Q. Tell us about the TSH and the T4 range there.  
11 A. TSH is -- can I ... May I turn the flip chart round?  
12 Q. Of course you may. I just moved it so that people  
13 behind could see without it being in their way.  
14 A. TSH wise, it's of course not remarkable, as --  
15 Q. I wonder if it could be tilted slightly more towards the  
16 Panel. Tilt it towards the Chairman.  
17 A. Nevertheless, the average TSH, and I have measured this  
18 from a number of patients, is about 0.9 to 1.  
19 Can I write on that, or is that Professor Weetman's  
20 evidence?  
21 Q. You can start your own sheet or write on that one, it's  
22 not a problem either way unless anyone has any  
23 objection.  
24 THE CHAIRMAN: Perhaps you could do yours at the bottom of  
25 that page so we can compare what you're saying and what

1 has been said. Is that possible, is that all right?

2 A. Yes.

3 THE CHAIRMAN: Go to another page.

4 A. TSH values have what's called a left sided skewed  
5 distribution. If you have 0.5 there and 5 there, it's  
6 the kind of range most laboratories have. The modal  
7 value -- most values are around 1, with a tail out  
8 there. The mean is nought in the middle of the range.  
9 I'm not trying to justify or argue to the Panel that  
10 1.49 is a high reading, but 1 is about the average.  
11 It's is unusual and more unusual to find values as you  
12 go out this way. (indicating). The 1.49 would be about  
13 there. (indicating).

14 Now, the FT4 is 12.2, and I think this is quite  
15 an important point. The 95 per cent interval goes from  
16 9 to 20. Now, we've touched on this already, but the T4  
17 value with this lady was 12.

18 A point I made before, which I think is very  
19 important: Professor Weetman's evidence was -- I think  
20 he said something like, and it will be in the  
21 transcript, "As far as I'm concerned, you're in or out."  
22 That I don't agree with because you could be out just  
23 there and in just there (indicating).

24 MR JENKINS: 8.9 is out and 9.1 is in.

25 A. That seemed to be the contention being advanced. Most

1 people, I would have thought -- I would be staggered if  
2 there was any endocrinologist, even, that would say that  
3 that is the same as that. (indicating). So if way  
4 at the top of the 95 per cent is the same, what has been  
5 said, as just at the bottom, I don't think that's  
6 commensurate with, I hate to say it, but common sense.

7 Now, this is a slightly broader issue, but we have  
8 seen that if you took Patient B, this patient had an FT4  
9 value of 39. It wasn't thyrotoxic in my view.  
10 Patient C had a value of 11 and wasn't thyrotoxic.  
11 This is because hypothyroidism is not a level of  
12 a hormone, it's the effect a given level has on your  
13 body tissues and all these features, thus clinical  
14 assessment is a sine qua non.

15 So we all understand, and I myself see of course,  
16 that 12 is within this reference interval, but it's  
17 lowish in it. So I wouldn't have thought that was  
18 sufficient to negate or exclude the diagnosis.

19 Q. Well, we know this lady did start to take thyroxine that  
20 you had prescribed for her and we understand that she  
21 took some days at 25 micrograms and then went on to take  
22 some days at 50 micrograms. I think I can take you  
23 to page 26. This is your letter to the GP from  
24 13th February 2003.

25 A. My second letter.

1 Q. Yes. You enclose within this letter the results that  
2 we've just looked at. I think what's happened in the  
3 interim is that Patient A has complained and said she  
4 doesn't want to see you again. We have seen the letter  
5 she wrote at page 20. She is saying, "I can no longer  
6 be a patient under your care."

7 I'm just using your letter at page 26 to see  
8 what was being said at the time about the course of her  
9 taking the medication, because in the second substantive  
10 paragraph at page 26 you say:

11 "Unfortunately we had some teething problems with  
12 thyroxine sodium and certainly at the 50-microgram  
13 dosage Patient A has been troubled by headaches and  
14 disturbed mood with feelings of violence, but I did talk  
15 to her about this and she agreed that these have been  
16 present in the past and I felt assured that Patient A  
17 was not a danger to herself or anyone else. We did in  
18 fact discuss the possibility of a psychiatric opinion  
19 and Patient A seemed to think this was a possible way  
20 forward but I think in the first instance we can  
21 reasonably hope that her clinical features, including  
22 mood disturbances, will reduce or disappear when the  
23 thyroid status is restored and I will take full  
24 responsibility for any consequences that may derive from  
25 proceeding down this strategy."

1           You talk about a complication being that Patient A's  
2           husband was telephoned to indicate he does not wish to  
3           make any further contact with his wife. Indeed I think  
4           you have been copied into a letter where Patient A  
5           expresses the same view.

6           Can I just go back to the complaint of headaches and  
7           a complaint that she was feeling violent. Again, we've  
8           seen her letter, but tell us: did you speak to her over  
9           the telephone, Patient A, at some point?

10        A. Yes.

11        Q. We've heard reference to her telephoning and speaking to  
12        a lady who told her to stop taking the medication.

13        A. That's what she says.

14        Q. Are you able to tell us who the lady would have been?

15        A. I think it's my late wife.

16        Q. And which phone number would have been called for your  
17        late wife to speak to the patient?

18        A. I assume it would be my mobile, but it's not  
19        inconceivable that it was my house because there is no  
20        sort of reluctance to give my home number out if  
21        necessary. So I don't know that.

22        Q. I'm looking at the notice of hearing for those who may  
23        have it in front of them, the yellow pages. We'll go  
24        through it line by line, as it were, Dr Skinner, in due  
25        course. I wonder if you could be given a copy. It's

1 blue, I think, a copy for the witness. (Handed).

2 A. Thank you.

3 Q. Head 7A, this at present is unadmitted.

4 It reads:

5 "Between 16th January 2003 and 6th February 2003,

6 you spoke to Mrs A on the telephone who complained of

7 new symptoms that could have been an adverse effect to

8 your prescription."

9 We heard Mr Lynn's view. You agree you spoke to her

10 about headaches and disturbed mood with feelings of

11 violence. We see it from your letter at page 26 that

12 that happened?

13 A. Yes.

14 Q. And you agree it was over the telephone. There is no

15 note of such a conversation in your clinical notes.

16 A. That is correct.

17 Q. Tell us why.

18 A. I think, as very much Mr Lynn said, it's a shortfall,

19 which I freely admit to, and indeed the Commission of

20 Healthcare addressed this issue in general terms. As

21 Mr Lynn said, you get hundreds of phone calls a day and

22 you should write them all down, but in the hurly-burly

23 of a medical practice you can forget. I don't know why

24 I didn't put them down, I have no reason.

25 Q. You go on in the letter -- I'm sorry, let me stop. It

1           said you failed to assess Mrs A or arrange for her to be  
2           assessed by her general practitioner. Let's just go  
3           back to page 26 and the second paragraph, I have read it  
4           already.

5           You say:

6           "I did talk to her about this and she agreed these  
7           have been present in the past and felt assured Patient A  
8           was not a danger to herself or anyone else. We did in  
9           fact discuss the possibility of a psychiatric opinion.  
10          She seemed to think it was a possible way forward but  
11          I think in the first instance we can reasonably hope  
12          that her clinical features, including mood disturbances,  
13          will reduce or disappear when the status was restored."

14          It's complained of that you failed to assess her or  
15          arrange for her to be assessed by her GP. What do you  
16          say about that?

17    A. I think it's an unreasonable allegation that I failed to  
18          assess her. Any conversation with a patient on  
19          the telephone that doesn't lead to them -- some further  
20          action could be thus interpreted. I did assess her.  
21          And doctors have to make a clinical judgment.  
22          My judgment was that she wasn't finally, having talked  
23          to Patient A, a danger to herself or society.

24    Q. What was your view as to the likelihood that the  
25          headaches complained of were a side effect of the

1 medication?

2 A. She had headaches before. That doesn't of course exempt  
3 the possibility. But my view was that, given about  
4 12 million people have headaches in the UK every day,  
5 there wasn't sufficient evidence in a transient  
6 symptom -- you don't know it's transient at the time,  
7 I understand that -- to merit a full scale investigation  
8 of a patient saying she had a headache on the phone.  
9 That was my view.

10 Q. I understand. We know that you prescribed Armour  
11 Thyroid for this patient and we have a copy of the  
12 prescription in the bundle. It's page 17.

13 A. We're back to the bundle, Mr Jenkins?

14 Q. Yes, tab 2. You prescribed Armour Thyroid. We heard  
15 Mrs A's evidence that her headaches resolved immediately  
16 she started to take this medication.

17 A. Yes, I think I recall that.

18 Q. But I think in fact you were not communicating with her  
19 at that point. Her husband had said he didn't want you  
20 to speak to her and you had had her letter from  
21 6th February.

22 A. Yes. There were further communications, but I think  
23 having discussed this with her, yes, you're quite right,  
24 I received her sad letter. Sad to me.

25 Q. Your response was at page 24, I think. This is

1 8th February 2003:

2 "Dear Mrs A. I apologise for the hurried note.  
3 I want to get this to you with some time to spare before  
4 your holiday. I tried to fax you but there has been no  
5 answer. I am so sorry about how upset you are -  
6 I really believed we were enjoying some light-hearted  
7 banter at your consultation but I have obviously misread  
8 the situation. I acknowledge and of course accept that  
9 you wish no further interaction with me but I must  
10 complete the 'instructions' vis-a-vis your thyroid  
11 medication. After we hurriedly sent a prescription for  
12 the Armour Thyroid to you; it should have arrived.

13 "I think the best plan is to take 25 micrograms of  
14 thyroxine as of now (not 50 micrograms thyroxine) and  
15 order the Armour Thyroid by fax. It may come before  
16 your holiday. If not, we can forward some to you by  
17 some means."

18 You then go on to say how she should take it and  
19 then contact Dr Edward Cooke, her GP.

20 "If you wish, but only if you authorise, I will  
21 write to him to exactly appraise him of the situation."

22 You give her what appears to be a mobile phone  
23 number. Was that yours?

24 A. Yes.

25 Q. "... if this is unclear. I do not want you to lose

1 momentum on your medication strategy."

2 And you say:

3 "Regarding the fee, I really don't want to take your  
4 money if you feel you have not had value and I will send  
5 you £155.00 next week. Finally, and this is critical,  
6 do not let the question of your thyroid status go by  
7 default. It is perfectly possible that your clinical  
8 features are a consequence of hypothyroidism and  
9 Dr Cooke will arrange a good endocrinological opinion  
10 presumably in your locality. I hope it all goes well."

11 And did you send the prescription for Armour  
12 Thyroid?

13 A. Yes.

14 Q. Did you get her consent to writing to Dr Cooke the  
15 letter that we see at page 26?

16 A. Yes, page 25 is the permission.

17 Q. Yes, thank you very much.

18 Let's go back to the letter, if we may, at page 26  
19 because there are two other topics I want to deal with.  
20 On page 27 you start that page by saying:

21 "I really do think that with some adjustment of  
22 thyroxine dosage with the introduction of Armour  
23 Thyroid, which is an excellent preparation used  
24 extensively in the United States ... then we will  
25 significantly improve Patient A's health. It is

1 perfectly legal to prescribe Armour Thyroid. The only  
2 downside is cost."

3 You then go on to say:

4 "... worth just putting to bed for once and for all  
5 I thought that Patient A was somewhat sallow as many  
6 hypothyroid parents become B12 deficient and I have  
7 suggested that she take 1000 micrograms B12 per day on  
8 a pragmatic basis."

9 Again, it's complained of you that you failed to  
10 perform any investigation on Mrs A to assess a B12  
11 deficiency. That allegation is not admitted. What  
12 do you say about it, the allegation that you failed to  
13 perform any investigation with regard to B12 deficiency?

14 A. I suppose it depends how you define an investigation.  
15 I would have said that flagging up with the family  
16 practitioner -- it's not an investigation, of course,  
17 but I thought that was quite a reasonable way forward  
18 in the matter.

19 Q. Well, you accept, I think, that you did not perform an  
20 investigation?

21 A. If you mean blood tests or checking for an intrinsic  
22 gastric factor, the answer is: absolutely.

23 Q. Did you regard yourself as under an obligation,  
24 yourself, to perform an investigation on Mrs A to assess  
25 her B12 deficiency, if any?

1 A. Certainly not.

2 Q. So is this the position, and I hope I can lead to this  
3 extent just to make things clear: you don't regard it as  
4 a failure to perform an investigation because, in your  
5 view, you had no obligation yourself to undertake one?

6 A. Correct.

7 Q. You didn't do it but you flagged it up for the GP.

8 A. That's what happened.

9 Q. I understand. Can we deal with the second issue in that  
10 paragraph. You deal with the possibility of serious B12  
11 deficiency or secondary hypoadrenalism, and you say:

12 "I do know that she had a haemoglobin level of  
13 12.8 grams per decilitre in August last year but I think  
14 if might be worth repeating it at this juncture."

15 What are you doing vis-a-vis the GP? Are you  
16 suggesting you'll do it?

17 A. No, I think most medical practitioners would take  
18 that -- that is a mandate, of course, one practitioner  
19 can't mandate another but would take that as  
20 a suggestion. If he agrees, he is an adult, consenting  
21 professional so to speak -- it seems to have been lost  
22 a built in all this -- if he agrees then he might repeat  
23 the 12.8. 12.8 is not a dramatic level, it's a lowish  
24 level of haemoglobin. Similarly with the secondary  
25 hypoadrenalism.

1           As I said earlier, that is many times self sorting  
2           once the thyroid is -- because the adrenal gland then  
3           gets an adequate level of thyroxine.

4   Q.   Just looking again at the notice of hearing, it is said  
5           in head 3i that you suspected a diagnosis of secondary  
6           hypoadrenalism. Do you have it?

7   A.   Yes, thank you.

8   Q.   Well, did you suspect a diagnosis of secondary  
9           hypoadrenalism?

10  A.   I don't know how to answer that. I don't know how  
11           you -- what we think of every thought a practitioner has  
12           becomes a suspicion that somehow you suspect the most  
13           extreme possible example of it, and that was very much  
14           the cast and tone of Professor Weetman's evidence.

15           I don't know what to say to that. I think you're  
16           saying: family practitioner, please consider this point.  
17           I'm not being evasive in this. I think you could say  
18           you suspect, yes, in the literal sense of the word.  
19           I don't think there is a problem in that.

20  Q.   Can I ask you about probability. Did it seem bound or  
21           obvious that she did have secondary hypoadrenalism, or  
22           that it was a remote possibility or it was certainly  
23           possible.

24  A.   Yes, again --

25  Q.   Where would you put it on the scale?

1 A. Well, probability is a tough one. I think it's  
2 a possibility, as many other things that aren't flagged  
3 up are a possibility.

4 I'm not trying to not answer your question, but  
5 you're almost saying, or this is almost being alleged  
6 that every BUPA screening procedure has flagged up  
7 something because a blood test was taken and wasn't  
8 followed through. I don't actually feel the spirit of  
9 this question shows -- I'm not trying to be  
10 confrontational -- an understanding of the principles  
11 and practice of medicine really, and truly between  
12 professional colleagues you will say something like this  
13 and it would be extraordinary if the colleague came back  
14 and said: oh, look what you suspected, you did not  
15 investigate it. It's just not commensurate with medical  
16 practice, in my view.

17 Q. We didn't draft the notice of hearing.

18 A. I'm aware of that.

19 Q. Others across the room did, and I'm just asking your  
20 evidence on the drafting as it presently stands.

21 A. Sorry, if I've beyond the brief of the --

22 Q. Don't worry. What is said is, that in the light of your  
23 suspicion, you failed to refer Mrs A to an  
24 endocrinologist or other relevant specialist for  
25 evaluation.

1           We heard Mr Lynn's evidence yesterday on this topic  
2           but can I ask you for yours. In the light of the  
3           possibility that there was secondary hypoadrenalism, did  
4           you regard it as necessary to refer her to an  
5           endocrinologist or another specialist, or was it  
6           sufficient to raise it with the GP, or what was your  
7           state of mind with regard to the possibility of  
8           secondary hypoadrenalism?

9    A. It's as I have said, I think it was perfectly sufficient  
10   to flag it up with the family practitioner at this  
11   stage.

12   Q. I'm going to go on with Patient A because we know from  
13   tab 1 of the same bundle how she responded and reported  
14   responding to her GP, and I'm going to ask you to turn  
15   to tab 1, please, at page 4.

16           We have in the middle of the page for  
17   16th October 2002, the referral to the physician.  
18   Sorry, it's the long history of abdominal pain, and the  
19   Panel will remind themselves of what is set out there.

20           Family history, constipation, and there is some  
21   detail about bowel habits, "Ask opinion of Dr V", must  
22   be Dr Veitch. We see entries from Dr Veitch's review of  
23   the patient on 18th December. We then see mention of  
24   your name, "Ask opinion of Dr Skinner who has an  
25   interest in this", and then a referral to you on

1 20th December, which is the same date, plainly, as  
2 Dr Cooke's letter that we've looked at.

3 There then follows your seeing the patient  
4 in January, your prescribing for the patient in January  
5 and February, and the next entry is:

6 "Patient's condition improved on small dose of  
7 thyroxine, TFT [thyroid function tests] is within normal  
8 accepted range."

9 There is then a referral to Professor Franklyn at  
10 the Queen Elizabeth Hospital in Birmingham.

11 As the GP writes:

12 "I am concerned as to side effects of thyroxine when  
13 ... thyroid function test that fall inside supposedly  
14 normal ranges."

15 The next entry we have is 15th April 2003:

16 "Patient's condition same. Definitely felt better  
17 on small dose of thyroxine but should not take  
18 unlicensed drug."

19 That would appear to be a reference to the Armour  
20 Thyroid that you had prescribed in February.

21 A. Yes, I would think so.

22 Q. 27th May:

23 "Patient's condition improved. Finds feels  
24 physically better on increased dose to 75 micrograms  
25 a day."

1           You had been prescribing thyroxine at 50 micrograms  
2           at the highest level. You prescribed it at a higher  
3           level but she had not got there.

4   A. I prescribed it at 25 micrograms --

5   Q. Indeed, then 50, then she had headaches and we have seen  
6           your letter of 8th February where you said go back to  
7           25.

8   A. Yes, it's not entirely clear what -- she was taking 25  
9           or 50 when the headache developed.

10   Q. I think we'll see -- we have seen your letter at page 26  
11           suggesting it was at 50 micrograms, and we're going to  
12           look at Professor Franklyn's letter at page 56 in this  
13           bundle, which I think makes the same point.

14           Let's look at that now. This lady really has quite  
15           a chronicity, I think it is, of symptoms, and she sets  
16           them out:

17           "Subsequently seen by a practitioner outside the  
18           NHS, and began treatment with thyroxine 25 micrograms  
19           and subsequently 50 micrograms daily. She did feel that  
20           her bowel symptoms improved, although on the higher dose  
21           of thyroxine she did notice some emotional at symptoms  
22           emerging. Because of this, she therefore swapped to an  
23           animal preparation of dessicated thyroid, which has not  
24           been licensed for use in the UK for a good many years."

25           It would appear the symptoms emerged at a time when

1 she was taking the higher dose, the 50 micrograms. But  
2 can I take you back to page 4. It's finds:

3 "Feels physically better on increased dose to  
4 75 micrograms a day. No signs of toxicosis. Continue  
5 for a month, then check the FTs."

6 Over the page, although you had written out  
7 a prescription with 75 micrograms as part of what was  
8 proposed, Mrs A was never there on your prescription,  
9 was she?

10 A. No.

11 Q. This was prescribed by another doctor, her GP it would  
12 seem.

13 A. Yes, and I think under Professor Franklyn's  
14 instructions.

15 Q. Well, we have her letter.

16 Over the page, page 5, 30th June 2003:

17 "A lot better. Periods regular and bowels regular.  
18 More energy. To stay on 75 micrograms a day."

19 Next entry is three months later, 2nd October 2003:

20 "Patient feels well. Periods better. No more  
21 constipation. Due blood check next week but seems  
22 likely dosage correct."

23 There are then some blood tests taken.

24 There is then a telephone encounter, as it's  
25 described in the notes:



1 (1.45 pm)

2 THE CHAIRMAN: Mr Jenkins, just before we start, could we  
3 call the graph that Dr Skinner did, please, D9.

4 Thank you.

5 Mr Jenkins.

6 MR JENKINS: Dr Skinner, I should have stayed with the  
7 notice of hearing just to finish off the outstanding  
8 allegations, so far as Patient A is concerned. I wonder  
9 if you could have it in front of you. Yours is blue,  
10 the Panel have a yellow version.

11 Paragraphs 1 and 2a have been admitted, plainly.

12 Paragraph 2b, I don't know if it's been amended on  
13 the form of the document that you have, Dr Skinner, but  
14 you will recall at the start of the hearing last  
15 Tuesday, an application was made to amend the word  
16 "normal" in 2b to "reference".

17 Has it been amended on your copy? 2b. The letter  
18 of referral contained the results of two previous blood  
19 tests showing Mrs A's TSH to have been within the  
20 reference range.

21 A. Yes.

22 Q. That has not been admitted so far. But I should have  
23 taken you back to Dr Cooke's letter. Again, if you want  
24 to refresh your memory, it's at page 9 of tab 2,  
25 bundle 1, tab 2, where he refers to the TSHs that we

1           have looked at the 1.49 and 1.45.

2    A.   Yes.

3    Q.   It did say "normal" originally, it now says "TSHs within  
4           the reference range".

5    A.   Yes.

6    Q.   Do you accept that the two previous blood tests were  
7           within the reference range?

8    A.   Yes.

9    Q.   Madam, it's a matter entirely for you.  It remains  
10           outstanding, not formally admitted, but you've heard  
11           Dr Skinner's evidence in relation to 2b and you may want  
12           to put a mark on your notice of hearing to indicate what  
13           has just been made?

14   THE CHAIRMAN:  Thank you.  Is that correct, Mr Kark?

15   MR KARK:  Yes, certainly.  I have just marked mine "admitted  
16           in evidence".

17   MR JENKINS:  I would encourage the Panel to do the same.

18           The other heads of charge, as we go down, 3a, it has  
19           been admitted that you saw Mrs A on 16th January 2003 as  
20           a private patient.

21           3b and 3c, the allegations that you took an  
22           inadequate history and carried out an inadequate  
23           examination.  Those plainly are denied.

24           The other allegations that follow on that page have  
25           been admitted d, e, f, and g, including g that you

1           suspected a diagnosis of B12. I have asked you about 3h  
2           and the fact that you did not perform an investigation  
3           on Mrs A to assess her B12 deficiency.

4   A.   Correct.

5   Q.   But you regard yourself as having no obligation in those  
6           circumstances, merely to flag it up to the GP, as you  
7           did.

8           So far as 12i is concerned, I asked you -- 3i, I  
9           am sorry, I asked you about suspicion and levels of  
10          possibility, and you dealt with that, and we prepared  
11          your response to 3j that in the circumstances there  
12          wasn't an obligation on you to refer her to an  
13          endocrinologist or other specialist for evaluation.

14          4a, again should have been amended on the copy that  
15          you have, Dr Skinner. The word "normal" now changed to  
16          the word "reference".

17   A.   It is.

18   Q.   We've seen the biochemical results in respect of Mrs A  
19          that you had ordered, if we need to remind ourselves of  
20          the page, and you will recall that the results were TSH  
21          of 1.4, and that is page 16 of tab 2, and a T4 of 12.2,  
22          and those, according to the documents, were within the  
23          reference range.

24   A.   Yes, indeed.

25   Q.   Do you accept that the biochemical results of Mrs A's

1 blood test were received on or about 24th January 2003  
2 and that the results were within the reference range?

3 A. Yes.

4 Q. I am grateful. Again, the Panel may want to put  
5 "admitted in evidence" for 4a.

6 As to 5 in its entirety, the allegation is that your  
7 prescribing for Mrs A was inappropriate, unnecessary,  
8 irresponsible, not in her best interests, to place your  
9 patient at risk of harm. Plainly those remain denied.

10 A. Denied.

11 Q. And for 6, your conduct as set out above was -- and the  
12 same phrases are used, inappropriate, unprofessional,  
13 irresponsible, not in the best interests of your  
14 patient, and to place her at risk of harm, and plainly  
15 those too are denied.

16 A. Yes.

17 Q. As to 7a, we've heard your evidence that you did speak  
18 to Mrs A on the telephone after your first consultation  
19 on 16th January and before 6th February when you wrote  
20 out a prescription for her.

21 A. 7a?

22 Q. 7a, yes. We have heard that she talked of a headache.

23 A. Yes.

24 Q. Again, should that allegation be admitted, that you did  
25 speak to Mrs A on the telephone, who complained of

1 symptoms that could have been an adverse effect to your  
2 prescription?

3 A. There are three facts contained therein. One, explained  
4 of new symptoms is denied.

5 Q. Yes.

6 A. "Could have been" is not possible to answer, it's  
7 a subjunctive clause:  
8 "You spoke to [her] on the telephone."  
9 That is accepted.

10 Q. Thank you, but you've indicated you didn't make a record  
11 in the notes of such conversations.

12 A. Correct.

13 Q. And you dealt with whether it was incumbent upon you to  
14 assess her or arrange for her to be assessed. Your  
15 indication is you did speak to her on the telephone, you  
16 elicited that she had had those issues before.

17 A. Yes, I assessed her through the conversation.

18 Q. I understand, thank you.

19 Let's turn to Patient B and the Panel may want to  
20 turn over to the next page of the notice of hearing and  
21 turn, please, to tab 4 of bundle 1.

22 We have your notes again transcribed, but I don't  
23 think anything particular -- and the Panel will want to  
24 look at the notes themselves on page 4, repeated on  
25 page 9, but I think it's exactly the same document, and

1 page 12 for a later consultation in March 2004.

2 We start at page 1 of the bundle of this tab, where  
3 the patient has put in her date of birth, her name.

4 Do you have it? It's the big bundle.

5 A. I'm sorry, we're on file 2?

6 Q. The big bundle. File 1, tab 4.

7 I am looking after the transcribed notes, the first  
8 numbered page, page 1.

9 This is the medication -- sorry, this is the form  
10 that the patient filled in, indicating her GP's details.  
11 We then have two blood results from 2002 and  
12 January 2003. Then we have your note of seeing Mrs B on  
13 20th March 2003.

14 A. Yes.

15 Q. We know that you were subsequently to write to the GP.  
16 It's page 6 of the bundle. It's what Mr Kark has called  
17 the "doghouse letter", saying:

18 "I thought this lady was bringing a letter of  
19 referral from your office, but she told me a slightly  
20 complex story of eventually telling you not to bother  
21 with the letter, so apologies if you did not intend her  
22 to come to see me."

23 Just tell us, if a patient does come but without  
24 a referral letter, what's your practice, so far as  
25 agreeing to see the patient is concerned?

1 A. There hasn't been a practice because it hasn't happened  
2 in the last --

3 Q. It happened here.

4 A. Yes, but in the last three years it hasn't. I think in  
5 these circumstances there is some genuine confusion.  
6 I would not send the patient back to Aberdeen. I would  
7 see the patient and contact the family practitioner  
8 then, and prior to seeing the patient.

9 Q. In the letter you go on to rehearse a number of the  
10 features that you've recorded in the notes that we have  
11 seen at page 4, and which have been transcribed.

12 Have you left your glasses somewhere?

13 A. Sorry, I've two glasses. I'm just getting the other  
14 ones out. I have got them, you can carry on if you  
15 want.

16 Q. You describe her in the second paragraph of your letter  
17 to Dr Blair as being almost classically hypothyroid.  
18 Can you tell us what that means, "classically  
19 hypothyroid"?

20 A. It means it's -- it's not available to clear definition,  
21 it means to most practitioners the clinical features  
22 would accord with or be typical with hypothyroidism.

23 Q. You go on to say that notwithstanding the thyroid  
24 chemistry, she is hypothyroid, which is, of course,  
25 a matter of some controversy these days, and you thought

1           there was a very good case for thyroid replacement.  
2           You've given her a prescription, but suggested she  
3           doesn't begin the medication until for perhaps 10 to 14  
4           days:

5           "... lest you didn't wish her to come and see me and  
6           it would discourteous to institute this without your  
7           knowledge."

8           Professor Weetman was critical of that. His opinion  
9           was that if it was appropriate for the patient to be  
10          taking the medication or to be prescribed it, they  
11          should go on and take it.

12          Can you just explain why it is that you wanted  
13          Dr Blair to have an opportunity to deal with any  
14          concerns he may have?

15    A.   Well, firstly, that is our usual practice now on advice  
16          from the General Medical Council, basically, but if the  
17          patient wasn't referred, I think that is common  
18          courtesy. I think family practitioner, of course, knew  
19          she was coming but that's a different issue. I think  
20          that's the professional way to behave.

21    Q.   I understand. You prescribed for her; are you able to  
22          tell us what the level of prescribing was from your  
23          note?

24    A.   If a patient's having thyroxine, it's always the same  
25          prescription, which is what we discussed this morning.

1           25 for a week, increasing in 25 microgram integers to  
2           100 micrograms per day, and then an assessment at two  
3           months.

4   Q.   I think there is no mention of that in your note itself.

5   A.   In my written note, that is correct, and that is  
6           a shortfall. I think because I know what I'm doing  
7           I don't always keep writing it.

8   Q.   I understand.

9           Well, did you get a response from Dr Blair?

10  A.   No.

11  Q.   We've seen your letter to him.

12  A.   6?

13  Q.   Yes. Can I take you to page 7. This is a document from  
14           your records because this tab, tab 4, are the records  
15           that you provided to the General Medical Council.  
16           Do you have page 7 of tab 4?

17  A.   Yes, indeed.

18  Q.   This I think is not completed by the patient or it's  
19           certainly different handwriting from the one that we've  
20           seen much earlier in the bundle. We see reference to  
21           the same general practice in Gourock, and we see the  
22           patient's medication and dose.

23  A.   Yes. I see that.

24  Q.   100 micrograms until 17th June 2003, and 125, presumably  
25           micrograms, for three months from then. We see the date

1 of the last blood test is 13th January 2003, and "date  
2 of last checkup with us or GP", which I think explains  
3 whose document this is. It's a document from your  
4 clinic.

5 A. Yes, it is a document from our clinic and filled in by  
6 Patient B.

7 Q. Right. Are you able to tell us what arrangements for  
8 review were made with this patient when you first saw  
9 her again in March 2003?

10 A. Yes. The patient was essentially returned to the care  
11 of Dr Blair, which, as I say, is what we tried to do.

12 Q. Coming back to your letter at page 6, in the third  
13 paragraph of the letter having said to Dr Blair:

14 "I have suggested she should wait and not begin the  
15 medication for 10 to 14 days lest you didn't wish her to  
16 come, and it would be discourteous to institute this  
17 without your knowledge ..."

18 You go on to say:

19 "I have a hunch this is the right way forward with  
20 her and I perhaps just need to see her once more in  
21 about eight weeks time."

22 Had that been the plan that you would see her again  
23 after a couple of months after instituting her --

24 A. That's the offered plan in this case to the family  
25 practitioner. The family practitioner clearly felt he

1           could proceed to follow the patient up.  It's  
2           a perfectly satisfactory outcome.

3    Q.  The next document that we have in time, I think, is the  
4           laboratory result in December 2003 at page 8, which was  
5           laboratory result ordered by Dr Blair in Scotland, and  
6           shows the patient's T4 level as 39 and the TSH as less  
7           than 0.1.  The comment of the pathologist making the  
8           report is that this suggests she was slightly  
9           overreplaced with thyroxine.

10                 What do you say about that comment, "slightly  
11           overreplaced with thyroxine"?

12    A.  It's a strange comment because most times laboratories  
13           are very -- clearly they do the test, so they're very  
14           hung up on the sort of extent of the blood test.  If  
15           you are asking me what would be my interpretation, is  
16           that what you're asking?

17    Q.  Yes.

18    A.  Yes, it's perfectly obvious it's above the 95 per cent  
19           reference interval.  The crunch of the matter is, and as  
20           Dr Blair affirmed, the patient had no features of being  
21           thyrotoxic at all.

22    Q.  Well, we're going to come and look at Dr Blair's records  
23           very soon but just staying with these documents, I think  
24           you saw the patient in January 2004.

25    A.  Yes.

1 Q. And we have your notes in respect of that. We have your  
2 letter to Dr Blair at page 11.

3 A. Yes.

4 Q. Your notes are transcribed on the front page from  
5 20th January 2004. It's the typed version.

6 MR KARK: Sorry, I think it should be the 21st, the  
7 manuscript at page 4.

8 MR JENKINS: I'm just about to ask that.

9 If we look at the handwritten version, it's page 4,  
10 it has been put in again at page 9. I wonder if you're  
11 able to help us with the date. Presumably the  
12 handwritten version is the one to rely on.

13 A. Sorry, I was not actually following this controversy.  
14 21st January I thought was --

15 Q. Yes, we should change the typed transcript version on  
16 the initial page of the bundle. Can you just tell us  
17 what you were told and what you've recorded on page 4 as  
18 to any palpitations?

19 A. I'm actually working from page 9, I think it's the same  
20 thing.

21 Q. It is the same thing. I think both of them are not very  
22 good photocopies, they have both chopped something off  
23 at the bottom.

24 A. The essence of the matter is that I could find no  
25 evidence of the patient being thyrotoxic at all. Her

1 pulse was 56 per minute, she still had an enlarged  
2 tongue, which she still could have if she was at that  
3 moment thyrotoxic, one understands that, and I thought  
4 she was clearly hypothyroid, notwithstanding --

5 Q. I wonder if I could ask you to read us what your note  
6 says. The Panel will plainly look at the transcript  
7 typed version, but just read us the note.

8 A. Can you give me the page for the typed version?

9 Q. It should be at the front of the tab, tab 4.

10 A. Right. So we're on 20th January or 21st?

11 Q. I want you to just read from the handwritten version.  
12 Put the typed version to one side.

13 A. Back to page 9, yes, right.

14 Q. Or 4, yes.

15 A. My 4 is typed. Sorry, right. I'm now on a handwritten  
16 version, 21st January.

17 Q. Can you tell us the first part of your entry for that  
18 day?

19 A. It says:

20 "200 micrograms of thyroxine. Free of palpitations  
21 for one month. Her memory is still bad, her hair is  
22 less dry, nails are better, weight is the same. She  
23 mentioned some difficulty about incapacity benefit. No  
24 palpitations since. Feet swelling better."

25 Then we go on to the examination where she weighed

1 18 stone:

2 "Pulse was 56 per minute, blood pressure 135 over

3 80."

4 Tongue I've given two pluses to and said "indented".

5 That's indented round its edges.

6 Q. Is that a usual medical scale of up to three pluses?

7 A. It is. It's a very loose scale but I think it's one

8 most doctors will use. There isn't a tonguometer, so to

9 speak.

10 Q. No, no.

11 A. Thyroid was palpable -- thyroid gland, sorry, was

12 palpable:

13 "To receive 150 micrograms thyroxine and

14 20 micrograms of Tertroxin [which is T3]."

15 Q. Again, I don't know it says thyroxine or Tertroxin --

16 A. No.

17 Q. -- but the inference that we have from Professor Weetman

18 is that's all it could be. Can you confirm that --

19 A. Yes.

20 Q. -- that's what it was?

21 A. Yes.

22 Q. Can I take you back to the previous page, page 4.

23 If we look above where it says, "free of palpitations

24 for one month", can you read us those entries. Is it

25 energy, weight, libido, eyes better? On the right-hand

1 side of the page.

2 A. Yes, I see, up there, yes. Sorry, I missed that when  
3 I was reading it out.

4 Q. It's all right, I'm just wondering whether those fall  
5 within this entry for January 2004 or whether they  
6 relate to the March 03 entry.

7 A. These relate to the January entry.

8 Q. Right. "Eyes better" implies this is a second occasion  
9 that you have spoken to her.

10 A. Yes, I saw her on 20th March.

11 Q. Yes.

12 A. Of 2003.

13 Q. Well, just tell us, if the TSH range or the TSH reading  
14 from the biochemical result in December was less than  
15 0.1 and the T4 was high at 39, outside the reference  
16 range, are there signs or indications that this lady's  
17 clinically thyrotoxic?

18 A. No, none.

19 Q. What would you have expected to see if she was  
20 thyrotoxic?

21 A. I would say over the years the most common feature is  
22 a kind of inefficient energy, a kind of restlessness  
23 patients get. They feel out of sorts and a bit,  
24 I think, "driven" would be the word.

25 Then they can have tachycardia, they can be a bit

1 hot and sweaty, they have diarrhoea, and slightly  
2 further down the line, not in the early stages of being  
3 thyrotoxic, in my experience, they can have a tremor,  
4 a fine sort of tremor, and over a longer period of time  
5 you can get weight loss.

6 Q. You saw this lady again, I think, in March of 2004.  
7 Before we get to that stage, we should look at page 18  
8 of tab 4. You had prescribed T3, Tertroxin,  
9 20 micrograms on 21st January 04 and 150 micrograms of  
10 thyroxine. Prescribing it for 150 days, I think.

11 A. I think it's 150 micrograms.

12 Q. Yes, I'm sorry, I corrected myself. For 150 days?

13 A. Yes.

14 Q. This was the prescription that was not dispensed. We  
15 see the Tesco Stores Limited instore pharmacy stamp on  
16 the bottom right-hand corner, and an indication that  
17 only thyroxine had been dispensed.

18 A. Correct.

19 Q. And not Tertroxin.

20 A. That is correct.

21 Q. We have not heard from the pharmacist, but you saw her  
22 again in March 2003, we have your notes.

23 A. I wonder if it's 2004, Mr Jenkins.

24 Q. I'm grateful, thank you. What we have are notes on  
25 page 5 in relation to that Tesco prescription that we've

1           just looked at of a failure to dispense against it.

2           Yes?

3    A.   Yes.

4    Q.   Is that your writing on page 5?

5    A.   I think it is.

6    Q.   Are you able to tell us now when you learned that the

7           prescription hadn't been dispensed against by the

8           pharmacy at Tesco?

9    A.   I can't remember when I learned of that.  I think the

10          patient telephoned the next day to say she hadn't had

11          her medication -- she hadn't had the thyroxine.

12   Q.   But your notes for the March 04 consultation are at

13          page 12.

14   A.   Yes.

15   Q.   And again I'm going to ask you to look at the

16          handwritten document rather than the typed transcript.

17   A.   Right.

18   Q.   Because I think some explanation has been added in

19          in the transcript, and we need to look at the original

20          notes on page 12.

21                  Can you tell us what you've written?  Is it

22                  "Three-quarters of an hour heart beating"?

23   A.   Yes.  Mrs B said her heart had beaten -- well, that's

24          badly written -- for about three-quarters of an hour

25          in the middle of the night.  A question of perhaps

1 excessive alcohol ingestion arose. Then there is 75 of  
2 thyroxine and 20 micrograms, she had been taking since  
3 then, that is what that note means.

4 She hadn't been taking her -- she wasn't taking  
5 antidepressive medication now, she had taken these  
6 previously.

7 Q. So that's what you've written, "No antidepressant now,  
8 but taken previously".

9 Can you help us with what is in the left-hand  
10 margin?

11 A. "No palpitations since the Saturday that this episode  
12 occurred."

13 Q. Right.

14 A. "She now feels better. Hassle from the Job Centre".

15 Q. Right.

16 A. "Pulse was 52 per minute, regular. Tongue reduced in  
17 size. Thyroid [nothing against it]."

18 That would -- that's me writing thyroid, about to  
19 write that it's still there, but it isn't there.

20 Then blood pressure 130 over 80, note an  
21 aide memoire to write to Dr Blair, and that 11 of 100  
22 alternating with 75 micrograms thyroxine for  
23 20 micrograms, should take -- or 20 micrograms of  
24 Tertroxin for three weeks prior to returning to Dr Blair  
25 whom she was seeing very regularly.

1 Q. Can we go to Dr Blair's letter to the GMC at page 19,  
2 please. You were later to be given a copy of this.  
3 He says in February 2004:  
4 "I was consulted by my Patient, A, on  
5 28th January 2003."  
6 He sets out a history as he would put it.  
7 He said in the first paragraph:  
8 "During the course of the conversation she also  
9 asked regarding a Dr Skinner of 158 Queens Drive in  
10 Glasgow, whom she was considering seeing privately.  
11 I did not at any stage refer A to Dr Skinner."  
12 He mentions a Beck Depression and Inventory Scale  
13 and says that he:  
14 "... discussed with A her normal thyroid function  
15 test. Suggested she was suffering from depressive  
16 symptoms as well as being perimenopausal."  
17 He goes on to say:  
18 "On 8th April I received a letter from Dr Skinner, a  
19 copy of which is enclosed."  
20 And this would be the first letter that you sent,  
21 saying to Dr Blair:  
22 "I have asked her not to take them" --  
23 A. Yes, that is my first communication to Dr Blair.  
24 Q. Indeed. He says he strongly advised A against taking  
25 thyroxine, he felt there was no clinical indications.

1           He talks of some trials which have been carried out  
2           in the States, further studies in Stobhill had shown  
3           there was no scientific basis for such a prescription.

4           Can we break off there. Stobhill was the Pollock  
5           paper that we've seen?

6   A. Yes, that's what he's referring to.

7   Q. I asked Professor Weetman a number of questions about  
8           the soundness of that study and the conclusions that one  
9           can draw from it.

10           Breaking off from Patient B for a moment, would you  
11           regard it as sound evidence the Stobhill Pollock paper,  
12           that treating biochemically reference range patients who  
13           had symptoms was unwise or inappropriate or unnecessary?

14   A. I don't agree that it's helpful. I think the drive of  
15           Professor Weetman's point was that even though the study  
16           was flawed you would have seen a difference, but that  
17           point is not applicable if the dose of stuff the  
18           patient's got, which was only 100 micrograms, which is  
19           what was used, if you give them 1 microgram -- so all  
20           these considerations fall down, and I think probably  
21           the essential point is that the patients didn't get  
22           enough treatment and not for long enough. So I think  
23           that makes that study a little insecure as a signpost  
24           for the future of management of hypothyroid patients.

25   Q. Let's come back to this letter, we're now on page 20.

1 He says:

2 "A is by no means unintelligent. She is perfectly  
3 able to understand all the discussions in relation to  
4 the studies ... and despite this, when she consulted me  
5 on 15th May [he must mean 2003] she had started on the  
6 thyroxine tablets, having stopped her antidepressant,  
7 and at that stage said she was feeling better.

8 "On 26th September she was taking 175 micrograms of  
9 thyroxine and was also wanting to start her  
10 antidepressants. At that stage she was given very clear  
11 advice, which on 4th December [again it must be 2003]  
12 the dose had been increased to 225 micrograms per day.  
13 Pulse and BP were surprisingly normal. Thyroid function  
14 tests on 9th December were unsurprising, copy enclosed."

15 That's the document we looked at from  
16 9th December --

17 A. Yes.

18 Q. -- showing a T4 of 39.

19 What do you say about the pulse and BP being normal  
20 when Dr Blair measured them?

21 A. I don't share his surprise, as I have emphasised to the  
22 Panel that -- it's how the body reacts to a given  
23 dosage, and earlier on I said that you have a situation  
24 with someone with a free thyroxine level of 39 who's not  
25 thyrotoxic, and Dr Blair points that out very fairly.

1 I have seen patients, not under my care, with thyroxine  
2 levels over 100, they felt fine. It's how the patient  
3 is.

4 Q. You saw the patient again on 22nd March; page 24 if you  
5 would. I'm looking at the letter to the GP.

6 A. Yes.

7 Q. This deals with the consultation where we've looked  
8 at the records. Did you know at this stage that  
9 Dr Blair was complaining to the GMC about you?

10 A. I have had no contact from Dr Blair throughout the  
11 entire case.

12 Q. I think if we look at the next page, page 25, you had  
13 seen a letter from Liz Jordan from the PCT in which she  
14 wrote to the GMC and said that the blood test result  
15 from December 2003 suggested that the patient was  
16 slightly overreplaced with thyroxine, and you were  
17 writing to the GMC to deal with that concern.

18 A. Yes. I'm sorry, Mr Jenkins, we're on page 25?

19 Q. For the moment, yes.

20 A. I didn't think that was one of her complaints, but I may  
21 not be finding it again. Dr Jordan's complaint seemed  
22 to relate to a Tesco pharmacy that prescription that  
23 fired the whole complaint process off. I wonder if  
24 we're on a different document.

25 Q. No, well, let's go to 17. It's my fault for jumping

1           about.

2    A.   It's very confusing with all this paperwork.

3    THE CHAIRMAN:   Where are you now, Mr Jenkins?

4    MR JENKINS:   I'm in three places, I'm sorry.  I'm going

5           to 17 and I'll stay there for a while.  Tab 4, at

6           page 17.

7    A.   May I speak?  I apologise, I have found what you're

8           looking for --

9    Q.   Don't worry.

10   A.   -- which does say that in the middle of paragraph 3,

11           "slightly elevation above normal levels", is what she

12           says.

13   Q.   I understand.  Let's just deal with it.

14           Paragraph 1, Dr Jordan is saying:

15           "I'm writing to raise a concern."

16           And she's writing in her capacity of medical

17           director of the PCT.

18           She goes on in the second paragraph to talk about

19           the Tesco prescription:

20           "When it was presented to a local community

21           pharmacist, the pharmacist questioned the drugs ..."

22           Paragraph 3 deals with Dr Blair, and says in the

23           middle of the paragraph:

24           "The patient's most recent thyroid function,

25           I believe, showed some slight elevation above normal

1 levels."

2 And gives the details of Dr Blair:

3 "... who could be contacted by the GMC and would be  
4 happy to provide further details."

5 And she provides a copy of the prescription, that's  
6 the Tesco prescription, and invites the GMC to  
7 investigate, effectively.

8 You will then have been written to by the GMC and  
9 your response is at page 25.

10 A. Yes.

11 Q. You have said in the third paragraph that you've issued  
12 a prescription, you've changed -- you said when it  
13 seemed she was not converting thyroxine to  
14 Tri-iodothyronine, T4 to T3, her prescription was issued  
15 and the rationale of this prescription was explained in  
16 your second letter to Dr Blair, and we have looked that  
17 the elsewhere in the bundle.

18 You say:

19 "I think it is important to note that neither the  
20 parent nor Dr Blair have at any time intimated  
21 dissatisfaction on my administrations. Indeed I was  
22 consulted by the patient only five days ago in my rooms  
23 in Glasgow and she is doing quite well."

24 We've looked at your notes from March, 18th March.  
25 Let's go back to your letter, if we may, the previous

1 page, because it's written the day before the letter to  
2 the GMC.

3 You mention that Patient B was someone you saw on  
4 a courtesy basis. She apparently was in dire financial  
5 straits. Does that mean you took no fee?

6 A. Correct.

7 Q. I don't mean to say that with a lot of patients behind  
8 you, but that is a fact.

9 A. I made a very rare exception.

10 Q. I understand. You made mention of a strange episode of  
11 racing heart at the weekend, that's the Saturday that  
12 you've referred to in your record, and you relate it  
13 perhaps to excessive intake of alcohol on the previous  
14 evening, and you talk about dose. Yes?

15 A. Sorry, yes.

16 Q. You talk about alternating the dose, perhaps the right  
17 approach would be to alternate 75 micrograms and 100  
18 micrograms thyroxine per day but continuing with  
19 20 micrograms of Tertroxin.

20 You say:

21 "I thought it would be possible to increase her  
22 input of thyroxine replacement."

23 As you personally doubt that the strange episode  
24 in the early hours of one morning was related to  
25 excessive thyroxine replacement.

1           You talk about Patient B has a bit of a problem in  
2           confrontation vis-a-vis disability and income support  
3           but you say:

4           "In general I think she has notably improved since  
5           the institution of thyroid replacement."

6           Have you seen any records elsewhere to suggest that  
7           there was any clinical deterioration or clinical cause  
8           for concern about this patient?

9       A.   No.

10      Q.   Again, perhaps I don't need to take you to Dr Blair's  
11           records, we looked at them with Dr Blair, but over the  
12           course of the year he didn't record any increase in  
13           heart beat, or heart rate, or concerns of that type?

14      A.   Not from the given evidence.

15      Q.   Can I turn to the notice of hearing with regard to  
16           Patient B, please.

17           8a has been admitted saying that on 20th March 2003  
18           you saw Miss B as a private patient without a referral  
19           from her GP.

20           8b is presently not admitted, and you should have  
21           a version which includes the amendment so that it now  
22           reads:

23           "At that consultation you became aware of the fact  
24           that results of her blood tests showed her thyroid  
25           chemistry to be within the reference range."

1 A. That's admitted.

2 Q. That's admitted, thank you.

3 It is said that you took an inadequate history and  
4 carried out an inadequate examination on that occasion,  
5 20th March 2003. Plainly, that's denied.

6 A. Yes.

7 Q. As to e, it is said that, presently unadmitted, that:  
8 "You provided Miss B with a prescription of  
9 Sodium Thyroxine, 100 micrograms per day until  
10 [18th] June 2003 to be followed by 125 micrograms per  
11 day for the three months thereafter."

12 Again, we looked at page 7 of tab 4.

13 A. Yes, that's not admitted. That's wrong as it reads.

14 Q. I just want you to look at page 7.

15 A. Yes.

16 Q. The patient herself has written medications and dose  
17 100 micrograms until 17th June and then 125 more three  
18 months from then.

19 A. Correct.

20 Q. That is what she has written. Is she right?

21 A. She is right and I am right, because the word "until"  
22 doesn't have a starting point in time. She undoubtedly  
23 would be -- by the time you got to May, she would then  
24 naturally proceed to the 100 micrograms.

25 Q. I understand. So I'm just looking again at 8e,

1 the suggestion that on 20th March 2003 you provided her  
2 with a prescription for Sodium Thyroxine, 100 micrograms  
3 a day until 17th June.

4 A. I don't know how to answer that. It's true for the last  
5 three weeks prior or perhaps four weeks prior to  
6 17th June, but it's not true prior to that date.

7 Q. I understand.

8 A. I'm not sure how to answer this.

9 Q. I hope that's clear, I'm sure it will become clear later  
10 if it's not clear now.

11 A. It was the end point of the prescription.

12 Q. I understand, we know that you go up in stages, if you  
13 start to prescribe and go up, yes.

14 A. Yes.

15 Q. At 9a to e are allegations we've seen before in respect  
16 of Patient A. It is said that with Patient B your  
17 prescribing was inappropriate, unnecessary, and what  
18 have you, those are plainly denied.

19 10b, it is said that between the first time you saw  
20 her on 20th March 2003 and 21st January 2004 you failed  
21 to monitor Miss B adequately or at all. That's not  
22 admitted at present.

23 A. No.

24 Q. We then have 10c which is admitted, and you are aware of  
25 the results of a blood test set out in a report dated

1 9th December 2003.

2 10d:

3 "The results of that report show that Miss B had  
4 become biochemically thyrotoxic."

5 What do you say about that? The reference range for  
6 T4 is 10 to 24 and the reading is 39. Reference range  
7 for TSH is not given. The reading is given as less than  
8 0.1.

9 A. I categorically deny that.

10 Q. It's said this overreplacement was as a result of your  
11 prescribing thyroxine. Do you accept that there was an  
12 overreplacement?

13 A. I don't accept that.

14 Q. And 11, it's said that your prescribing was  
15 inappropriate, et cetera. Again it is denied.

16 A. Denied.

17 Q. Head 12a, it has been admitted that you saw Miss B  
18 again.

19 A. Yes.

20 Q. On 18th March. 12b, it's said that:

21 "You provided Miss B with a prescription of  
22 Sodium Thyroxine 75 micrograms or 100 micrograms on  
23 alternate days and Tertroxin 20 micrograms for an  
24 unknown period of time."

25 Forgive me, let me find the page again.

1 MR KARK: The notice is at page 12.

2 MR JENKINS: Thank you, I'm also looking for the letter to  
3 Dr Blair.

4 Page 5. Notes at page 12, I am grateful, and the  
5 letter is page 5.

6 Thank you, it is page 6, I am grateful.

7 Again, going back to the notice of hearing. It's  
8 said that on that occasion, 18th March 04, when you saw  
9 Miss B again --

10 MR KARK: I'm so sorry to interrupt, but can I just point  
11 out the letter to Dr Blair is back in 03 and the note  
12 is 04.

13 MR JENKINS: Thank you. Yes, the letter should be page 24,  
14 shouldn't it?

15 So the allegation that:

16 "You provided Miss B with a prescription of  
17 Sodium Thyroxine on 18th March 2004 of 75 micrograms or  
18 100 micrograms on alternate days and Tertroxin  
19 20 micrograms a day for an unknown period of time."

20 What do you say about that, looking at your notes  
21 and the letter at page 24?

22 A. Facts 1 and 2 are admitted. The fact number --  
23 suggestion number 3 is denied.

24 Q. Well, can I ask this: if we deleted the words "for an  
25 unknown period of time".

1 A. Then it's perfectly admitted.

2 Q. You agree plainly that you did prescribe Tertroxin, it's  
3 not clear for what period of time you prescribed it.

4 A. I said it was for three weeks in the notes, and  
5 remember, it's shared care with the practitioner.

6 Q. I understand. Going back to the notes, page 12, does  
7 the three weeks refer to both the thyroxine --

8 A. Yes.

9 Q. -- and the Tertroxin, the 20 micrograms?

10 A. Yes.

11 Q. Thank you. Can I say immediately if there was an  
12 application to amend I wouldn't resist it.

13 It's said that your prescribing in that fashion for  
14 Miss B was inappropriate, unnecessary, irresponsible and  
15 not in the best interests of the patient. What do you  
16 say about that? You deny it plainly.

17 A. I do.

18 Q. And there is then an allegation that in July 2004 you  
19 provided Miss B with a prescription for Sodium Thyroxine  
20 at 150 micrograms a day for three months, and that's  
21 already been admitted on your behalf.

22 A. Yes.

23 Q. As to the allegation that that prescribing was  
24 inappropriate, unnecessary, and the rest, that is  
25 denied.

1 A. Yes.

2 Q. Let's move to the next patient, if we may.

3 This is a patient who was first seen when she was  
4 a patient of Dr Summers. You will recall his evidence  
5 that he did prescribe but that he hadn't seen any blood  
6 results. The patient was subsequently to become  
7 a patient at the practice in Wimbledon and the Panel  
8 will recall seeing Dr Cundy over the videolink and  
9 Dr Ince in person.

10 A. Yes. I recall that too.

11 Q. If we turn to tab 6, we've got the transcribed notes in  
12 respect of Patient C, and the notes for your first  
13 consultation on 6th March 2004, starting at the page  
14 numbered 1, which immediately follows the transcription.

15 A. Yes.

16 Q. We have your letter to Dr Summers, dated  
17 10th March 2004, at page 2. We see that Patient C came  
18 to your rooms, was meant to be bringing a referral with  
19 her, but you say:

20 "I think she was perhaps too timid to ask you,  
21 Dr Summers, for a referral."

22 You set out a summary of her history and you talk  
23 again about catching grey objects on the floor out of  
24 the side of her eye, you talk of her pulse rate and say  
25 skin and hair -- You say:

1            "I thought it was quite likely she was hypothyroid,  
2            although not seriously hypothyroid."

3            You say you had taken a blood sample for thyroid  
4            chemistry:

5            "Should have this result in ten days. Will let you  
6            know what goes forward at that point in time. As an  
7            aside, C has a notable family history of diabetes and  
8            you say you've asked her to re-test her blood and urine  
9            while fasting and after taking a glucose load to put  
10           this possible problem to bed once and for all.

11           "My apologies for seeing this patient without  
12           referral from your practice, which is really our  
13           invariable practice these days."

14           Can I take you back to the notes on page 1.  
15           I wonder if we could just go through what it is you have  
16           written, so for those who have a typed version as well,  
17           they can compare the two. Can you just tell us word for  
18           word what you've written. Is it "CO, 18 months ago" --

19           A. Yes, that is shorthand for "patient complains of".

20           Q. I understand. Over to the right-hand side you have GP?

21           A. Is in Pimlico.

22           Q. That is where Dr Summers was then working?

23           A. Yes.

24           Q. Thank you.

25           You've got an arrow down from "18 months ago".

1 A. Yes, that indicates previous history when she consulted  
2 a general practitioner in Glasgow.

3 Q. What does it say, "dizzy and strange"?

4 A. She felt a bit dizzy and strange, and she was a little  
5 vague but she said her blood pressure at the time was 80  
6 over 50, so a slightly low white cell count, and she  
7 thought it might still be lowish.

8 Q. Still lowish? Right.

9 A. Yes. She said she was now very tired, really tired,  
10 gets up -- come 11.30 she still can't get up, she did  
11 have grey side vision, hallucinations of grey things.

12 Q. Can you go up from that, we see "GP Glasgow", and that  
13 relates to at an earlier time.

14 A. Yes.

15 Q. Is it then "memory and concentration"?

16 A. Yes.

17 Q. "Jumping about"?

18 A. No, no. That means her memory and concentration were  
19 poor, and attention or -- perhaps concentration, she was  
20 jumping about from one subject to another.

21 Now, over to the left, where I tend to write the  
22 previous history, her menarche, that's when her period  
23 started was 14. It stopped at 15 for 18 months. In  
24 1996, again she was again a little vague, she sums up  
25 hormone investigation.

1           She had very poor libido. Her periods were  
2           irregularish, I recall, and she had an interuterine  
3           device in place for one month.

4           The pill caused bleeding. She felt coldish, twitchy  
5           and weepy. For five months she had had an RSP, which is  
6           a regular partner.

7           Over on the left the family history, there is a mum  
8           who's an insulin dependent diabetic, and her gran and  
9           her uncle had diabetes.

10          On examination her pulse was 60, her tongue was  
11          indented, blood pressure 105 over 60. There was nothing  
12          unusual in her thyroid gland.

13          Her hair was dry, and her skin was dry, and her  
14          heels were dry, and my sign of the foot, which is a sort  
15          of what we might do for an aide memoire was to check her  
16          thyroid chemistry, and I asked her if she would check  
17          her fasting urine -- sorry, that's for me, I'm going try  
18          and get her fasting blood checked and she herself could  
19          check her urine.

20    Q.    Are you able to tell us whether you prescribed for her  
21          from that note?

22    A.    From that note?

23    Q.    Yes.

24    A.    No, it doesn't say in that note.

25    Q.    Can we go to page 2, your letter to the GP, we have

1 looked at it, Dr Summers. That does not indicate  
2 whether you've prescribed or not.

3 A. No, it doesn't, no.

4 Q. If we go to page 3, that's the blood test that you  
5 ordered.

6 A. Yes.

7 Q. Would you make any comment about what the chemistry  
8 results were.

9 A. It's kind of similar to Patient A. In my view, the free  
10 thyroxine level is low. I understand it's within  
11 a reference interval. It's quite lowish on average in  
12 patients I see in fact.

13 TSH of 2.2 is certainly above the average, which  
14 runs about 1, as I indicated, and because of the skewed  
15 distribution on the graph I think it's eyebrow raising  
16 in conjunction with the other features.

17 Q. You saw this patient again in May.

18 A. Yes.

19 Q. We've got your entry on page 4. Is that right?

20 A. Yes.

21 Q. I think we can confirm the date from page 5, looking at  
22 the date of the consultation. Is that right?

23 A. Yes, some time in May.

24 Q. 8th May, what is recorded on this statement of account  
25 as the date of the consultation.

1 A. Yes.

2 Q. I think the date has been chopped off your clinical  
3 note, we don't see it on the top left-hand corner of  
4 page 4.

5 If we go to page 6, this is a second letter to  
6 Dr Summers in SW1:

7 "Dear Dr Summers, a note on C who I think is already  
8 improving on thyroid replacement, although she has  
9 recently and off her own bat put her dose up to  
10 200 micrograms of thyroxine, with curiously no  
11 thyrotoxicity, which made me wonder if perhaps there is  
12 some conversion problem here. I thought we needed to  
13 rationalise and stabilise the situation and perhaps add  
14 in some T3 if there is a conversion problem, and I have  
15 set her dosage of 150 micrograms thyroxine a day with  
16 20 micrograms Tertroxin per day, and I pleaded that she  
17 stayed at this dose for four weeks, at which point I'll  
18 speak to her on the telephone to decide the way  
19 forward."

20 Are you able to tell us whether you had prescribed  
21 for her in March, looking at that letter?

22 A. I don't understand this too well. I must have done  
23 because she was certainly taking that three months  
24 later. I don't know why I haven't got a copy of that in  
25 my notes. I know in August Dr Summers did the

1 prescription.

2 Q. No.

3 A. I'm not sure in retrospect if he did it in May or not.

4 Q. What you told us is your practice would normally be to

5 keep a copy of any prescription in the notes.

6 A. Yes, I agree that.

7 Q. But it does not appear as if there is a copy in these

8 notes.

9 A. I can't find a copy. I don't know why.

10 Q. But you're clearly adjusting the dose --

11 A. Yes.

12 Q. -- to 150 micrograms and T3 as well.

13 A. Exactly.

14 Q. Can I take you back to your note, page 4. Can you just

15 tell us what you have written?

16 A. "Married May 2005".

17 Q. That was a year hence.

18 A. That was a projected event.

19 Q. And that was clearly planned. She was planning to

20 marry.

21 A. Yes, exactly.

22 Q. What's the next line say?

23 A. "Weepiness better. Appetite good. Bowel better.

24 Concentration improved. Sleeping better."

25 Q. So it's concentration with an arrow going upwards.

1 A. Yes.

2 Q. And the fact that you say sleeping?

3 A. That she was sleeping better.

4 Q. I understand.

5 A. Libido had improved, which is the arrow going across  
6 the way rather than down the way, and the hot and cold  
7 situation was improved. Pulse 64 per minute, regular.  
8 Tongue smaller but still indented. Blood pressure  
9 maintaining 105 over 60.

10 Q. Is this a picture of a patient who's being overtreated  
11 or who is still hypothyroid? Tell us what that's  
12 a picture of on the clinical signs?

13 A. I would say it's probably still hypothyroid. That was  
14 my impression at the time.

15 Q. Back to your letter, page 6. You said you:  
16 "... pleaded with her to stay on this dose for four  
17 weeks at which point I will speak to her on the  
18 telephone to decide the way forward."

19 You told us that the patients had access to you over  
20 the telephone.

21 A. Yes.

22 Q. Would you initiate telephone calls to patients on  
23 occasion?

24 A. I certainly could, but I would also leave it sometimes  
25 to the patient just to let me know what's happening.

1 Usually in about a month or something like that.

2 Q. I think the next note we have of you with this patient  
3 is page 7. The date on the copy that I've got,  
4 someone's written it in, "Query: 20th May 04". I don't  
5 know if you're able to help us with that. What we  
6 should go to perhaps is the next page, which has this  
7 patient's name at the top right-hand corner.

8 A. That is 7/8/04.

9 Q. Yes.

10 A. On page 8.

11 Q. That is right.

12 A. Yes. It says at the top, "Notes, Helen Rule".

13 Q. We're not using names, but it's Patient C.

14 A. Oh, I do apologise, I'm terribly sorry.

15 Q. And is this what your note says:  
16 "Periods regular, IUD [interuterine device] fitted."

17 A. Yes.

18 Q. Periods heavy?

19 A. Yes, periods heavy. Shall I read it?

20 Q. Do, please.

21 A. "Lacking energy. Restless legs especially in the  
22 afternoon if concentrating. Can't get to sleep, memory  
23 and concentration still poor."

24 Over on the right is my shorthand with a D for what  
25 the patient's actually taking, which was 150 micrograms

1 thyroxine, 20 micrograms Tertroxin, and vitamin B12.

2 Q. Right.

3 A. I've made a note here:

4 "Thyroid replacement March to May."

5 I don't know why I've written that down there.

6 Over on the left "she got promoted recently".

7 Q. Yes.

8 A. "Weight's the same and she was still a little

9 constipated. Pulse rate was 64 per minute. Tongue

10 still enlarged and indented. Thyroid is not palpable.

11 Blood pressure was 80 over 60."

12 Which over on the right you can see I rechecked it

13 and it was 105 over 80.

14 Q. We know that you ordered a blood test for this patient

15 and we have the result on page 10 of tab 6. What do we

16 need to know about that blood test, Dr Skinner?

17 A. The thyroid chemistry is, I think, quite satisfactory.

18 I realise there is controversy on this issue, given the

19 patient's not thyrotoxic. I took a -- I commissioned

20 a cortisol reading, which is -- Cortisol readings in

21 isolation are to be taken with some caution but given

22 that the lady was working and was being promoted and was

23 generally getting into fair fettle, perhaps, I didn't

24 think that would really require further investigation

25 for any potential adrenal problem.

1 Q. We will come back to that when we look at the notice of  
2 hearing.

3 You wrote to her, I think, on page 9.

4 A. Page 9.

5 Q. Where you said to Patient C:

6 "Here are your thyroid chemistry and cortisol, which  
7 indicate the level's a little on the high side but if  
8 you're not feeling any adverse effects, I think you  
9 should stay at the same dose until I see you next or if  
10 you were planning any of your increasing strategies,  
11 perhaps you would let me.

12 "The cortisol level is satisfactory but we shouldn't  
13 entirely rule out the possibility of adrenal  
14 supplementation if we don't return you to optimal  
15 health.

16 "I look forward to seeing you soon and hopefully  
17 we will have you sorted out by Christmas time."

18 Again, why did you invite the patient to let you  
19 know if she was "planning any of her increasing  
20 strategies"?

21 A. There is always a concern if patients are changing their  
22 dose in any substantial way without you knowing; that's  
23 why. This particular patient was prone to initiating  
24 and changing things.

25 Q. Now, can I just ask, when you had dealt with Dr Summers,

1 we've seen you sent two letters to him. One is at  
2 page 2 --

3 A. Yes.

4 Q. -- in which you say:

5 "I have taken a blood sample. Should have this  
6 result in ten days, and will let you know what goes  
7 forward."

8 You then have a blood sample from March 04 and you  
9 write to Dr Summers again on 10th May 2004.

10 Are you able to tell us whether you would have  
11 wished the blood result to be sent to Dr Summers?

12 A. We send all the thyroid chemistry to the practitioner.

13 Q. Perhaps I can ask you to turn to the previous tab,  
14 please, and turn, if you will, please, to page 2.

15 A. Tab 5?

16 Q. Yes. You have to be aware that there is a page 1A as  
17 well.

18 A. Yes.

19 Q. This is, I think, Dr Ince making this note in late 2004  
20 in which she says:

21 "Had a chart to patient. Original blood test  
22 results showed patient to be euthyroid and explains why  
23 no response to treatment."

24 She says:

25 "To stop all medication and repeat thyroid function

1 tests in two months."

2 She makes a comment that the patient feels a lot  
3 better off medication.

4 The original blood test results are those that  
5 we were looking at in tab 6 that you had ordered at  
6 tab 3.

7 A. Yes.

8 Q. And you told us those would have been sent to  
9 Dr Summers.

10 A. Oh, absolutely.

11 Q. Well, we know that Dr Summers did prescribe thyroxine  
12 for this patient because he told us so, and when we  
13 looked at the documentation it appeared to suggest  
14 he was prescribing on 2nd August 2004. It's tab 5,  
15 page 8.

16 We've got a problem right towards the top of the  
17 page, hypothyroidism started on 2nd August 2004. That's  
18 when it appears to be entered onto the computer.

19 We then with "repeat masters" a third of the way  
20 down the page, Liothyronine, that's the T3 drug, and  
21 then Levothyroxine, 50 micrograms and 100 micrograms,  
22 but we don't have any dates when they were issued. But  
23 Dr Summers told us that he prescribed for this patient  
24 when she was at the SW1 practice.

25 What he told us is that if he had had the blood test

1 results, he wouldn't have prescribed for this woman at  
2 all.

3 Again, would you have sent the blood test results  
4 for Dr Summers in your two letters at tab 6?

5 A. Yes, certainly, I always do.

6 Q. There is then, I think, coming back to tab 6, a letter  
7 from Dr Ince to you at page 16, because enquiries were  
8 being made by the practice to find out the circumstances  
9 in which you started prescribing for her. I think you  
10 agree that there were problems, if you look at page 19,  
11 in finding the notes for the patient.

12 A. Yes.

13 Q. She had changed her address but hadn't changed her name,  
14 and you say in the middle paragraph on page 19:

15 "She had a number of features. I thought her  
16 thyroid chemistry was suggestive of hypothyroidism."

17 You say:

18 "As the years go by I become less and less reliant  
19 on thyroid chemistry as an index of diagnosis of  
20 treatment level in hypothyroid patients."

21 You say you have not in fact seen her for some time.

22 Well, can I ask: we know that a complaint was made  
23 to the General Medical Council and as part of the  
24 complaint we have dealt with before, a letter was  
25 written to Dr Prentice, tab 5, page 12, in the middle

1 paragraph, third sentence:

2 "Dr Skinner started this lady on 150 micrograms of  
3 thyroxine."

4 Did you?

5 A. No.

6 Q. And this is subsequently, I think, repeated by  
7 Dr Prentice, as we've seen, in his letter back. We have  
8 looked that the before.

9 Dr Prentice again complained that you had not  
10 written to the GP when you treated the patient. Again,  
11 you had, so far as Dr Summers was concerned.

12 A. Twice.

13 Q. You had kept the practice informed.

14 A. Two letters.

15 Q. Can I turn to the notice of hearing so far as this  
16 patient is concerned, please. It's accepted, plainly,  
17 that you saw her on 6th March 2004 as a private patient  
18 without a referral from her GP. That has been admitted,  
19 yes?

20 A. Yes.

21 Q. Head 16a, 16b and c, the allegations that:

22 "You took an inadequate history and carried out an  
23 inadequate examination."

24 Plainly you deny it.

25 A. Denied.

1 Q. It's been admitted, 16d, that:

2 "You took a blood sample for thyroid chemistry  
3 results."

4 16e has been amended now to read:

5 "On or about 16th March you received the results of  
6 a blood test which showed [her] TSH and T4 to be within  
7 the reference range."

8 At the moment that's not admitted. Do you have it,  
9 16e?

10 A. Yes. I think that is admitted, Mr Jenkins.

11 Q. Perhaps it should be, but at the moment it isn't.

12 A. Oh. It just says I received the results of a blood  
13 test, which showed it was in a reference range. That's  
14 true.

15 Q. It is true, I'm grateful. Again the Panel may want to  
16 write in "admitted in evidence" for 16e, after it was  
17 amended.

18 It's then said that:

19 "On a day unknown, before 8th May 2004 you  
20 prescribed Miss C with Sodium Thyroxine at an unknown  
21 dose and for an unknown period of time."

22 Again, we've looked at the documents, one of them is  
23 tab 6, page 8, in which you've written in the right-hand  
24 margin "thyroid replacement therapy". Do you have it?  
25 Bundle 1, tab 6, page 8.

1 A. This is in August.

2 Q. It is, but you've written "thyroid replacement", March  
3 to May.

4 A. Yes.

5 Q. If you go back to page 6, your letter to Dr Summers, you  
6 had just seen this patient on 8th May, and your letter  
7 two days later is a note on C:

8 "... who I think is already improving on thyroid  
9 replacement, but she's put her dose up off her own bat."

10 Are you able to help us, just looking at head 17,  
11 that before 8th May you prescribed Miss C with  
12 Sodium Thyroxine. Let's just deal with the core of it.  
13 Is that right or not?

14 A. That's correct.

15 Q. Right.

16 A. The first fact.

17 Q. So if the head of charge were to read:

18 "On a day before 8th May 2004 you prescribed Miss C  
19 with Sodium Thyroxine."

20 That would be correct?

21 A. That would be correct.

22 Q. Again, madam, plainly I leave it to Mr Kark to decide  
23 how he wants to take it forward, but he may choose to  
24 leave it or choose to amend, but I'm hoping to clarify  
25 matters for you and the Panel.

1           It's said that your prescribing to Miss C in those  
2           circumstances was inappropriate, unnecessary, and what  
3           have you. Those allegations plainly are denied?

4   A. Yes.

5   Q. 19a deals with that date, 8th May 2004, and it's said  
6           that you saw her. That is admitted.

7           Secondly, 19b:

8           "You provided her with a prescription for  
9           Sodium Thyroxine 150 micrograms a day and Tertroxin  
10          20 micrograms a day."

11          Again, I think if we look at page 6 that's what you  
12          did.

13   A. Yes. Not from -- I think an "unknown period of time" is  
14          a little harsh.

15   Q. It may be because it wasn't known by the individual who  
16          drafted this what the period of time was, but if those  
17          words are left out of the count, you agree that you  
18          provided Miss C on that day, 8th May, with  
19          Sodium Thyroxine 150 micrograms and Tertroxin  
20          20 micrograms?

21   A. Yes.

22   Q. I'm grateful.

23          Head 20, you don't accept, clearly, that your  
24          prescribing was in any way inappropriate or  
25          irresponsible.

1 A. No.

2 Q. And as at 7th August, I'm looking at head 21b, it's  
3 said:

4 "7th August 2004 you saw Miss C again."  
5 That is admitted, and it's said that your  
6 prescribing for her was 150 micrograms a day and  
7 Tertroxin 20 micrograms a day.

8 Well, looking at page 8 --

9 A. That's not admitted.

10 Q. Page 8 is your note from that day, 7th August 2004, and  
11 you've told us in the top right-hand corner where it  
12 says "d", and then various levels of dose of medication,  
13 you have told us that's what she was on.

14 A. Yes, that's what I usually do.

15 Q. I understand. Does your note there suggest whether you  
16 continued her prescription or not?

17 A. No, I'm quite clear on that. The patient was going to  
18 get and indeed then got Dr Summers to prescribe it for  
19 her, and in fact she asked me not to contact the  
20 practitioner as she was changing practitioners, and she  
21 would let me know who the new chap was, or lady.

22 Q. Well, again, we've looked at the prescribing  
23 documentation in tab 5. We don't have dates for the  
24 repeat masters on page 8 of tab 5, but we know that  
25 hypothyroidism was put in there certainly in August 04.

1 A. I think Dr Summers' written evidence is -- he has  
2 written quite clearly that he did this prescription.

3 Q. He has told us that he wrote the prescription. I have  
4 no problem with that.

5 It's said that your prescribing to Miss C, head 22,  
6 was inappropriate and the rest. You don't accept that?

7 A. No.

8 Q. Head 23a, it has been admitted that you suspected  
9 a diagnosis of B12 deficiency and we know that she was  
10 on B12 from the last note we saw.

11 Back again, I'm sorry to flick from tab to tab, but  
12 it's back to tab 6, page 8. She was on B12 or you have  
13 recorded her as being on vitamin B12.

14 A. I haven't said I put her on it.

15 Q. It has been admitted that you suspected a diagnosis of  
16 B12 deficiency.

17 A. May I ask where that is? I'm not saying it's  
18 impossible, I just ...

19 Q. It may or may not be in the notes but it has been  
20 admitted on your behalf.

21 A. Has it? I remember Patient C was the lady who started  
22 things.

23 Q. Sure.

24 A. Yes.

25 Q. The suggestion is that if you suspected B12 deficiency,

1           you should have gone on yourself to investigate it.  
2           That's the same allegation that was made in respect of  
3           Patient A.

4   A.   Yes.  I have heard that allegation, which I attempted to  
5           refute in the generality of the matter.  But in the  
6           particularity of the matter I don't think I have made  
7           that assertion.

8   Q.   No, no, but again if there was a suspicion that the  
9           patient had B12 deficiency, was there an obligation upon  
10          you to investigate it?

11  A.   I'm not trying to be obtuse, but it would depend on the  
12          level of your suspicion in a medical practice, entirely.

13                I suppose an example might be, there is quite  
14                a large number of vegetarians in Birmingham and they  
15                have a chronic tendency to B12 deficiency, but  
16                something, for example, an obvious dietary possibility,  
17                I don't think you go charging round investigating it.  
18                I think there is a level of judgment due to the extent  
19                of the malaise or illness.

20  Q.   I think if you want to look at the B12 issue, if you go  
21          to tab 6, page 14, that may help you.

22  A.   I'm just giving an account of what the patient was  
23          taking there.

24  Q.   All right.

25  A.   The "and" doesn't refer to the previous gerundive, if

1           you see what I mean, it means she's also taking oral  
2           B12. So I would deny that.

3    Q. I'm going to ask for a break in a minute but I'm going  
4           to ask for help from Mr Kark before I do.

5           Head 24a, there is reference to a blood test for  
6           Miss C and I'm not able immediately to find the page  
7           where that blood test result may be. It may be Mr Kark  
8           has a slightly better grip --

9    MR KARK: Page 10, tab 6, I think.

10   MR JENKINS: Thank you.

11           24a has been admitted that:

12           "On or after 16th August 2004 you received a blood  
13           test ... for Miss C from the sample you had taken  
14           following the consultation on 7th August."

15           That is admitted.

16   A. Yes.

17   Q. It is said that:

18           "The blood test results demonstrated that Miss C had  
19           become biochemically thyrotoxic."

20   A. That's denied.

21   Q. It's said that:

22           "You failed to take steps to reduce or stop her  
23           thyroid medication."

24           At the moment that is not admitted.

25   A. It's not admitted. I don't know if this is applicable

1 or not, with the word "failed" in it, which has a sense  
2 of, I don't know, bad practice or something.

3 Q. Well --

4 A. I did not, I would accept.

5 Q. If you go to page 9 in tab 6 what you had said to her  
6 was:

7 "I think you should stay at the same dose."

8 A. That's correct, I did not reduce her or stop it.

9 Q. No, I understand.

10 It's said that you:

11 "Suspected she might be suffering adrenal failure.

12 "You failed to refer her to an endocrinologist to  
13 assess your suspicion."

14 What do you say about those?

15 A. If suspected means that it comes to your attention in  
16 any way, I accept that, albeit I conducted a test of  
17 adrenal hormones.

18 Q. Right. Let's look at the adequacy or otherwise of the  
19 steps that you did take.

20 There is no commentary on heads 24b, c, d and e,  
21 it is not suggested that your conduct in failing to  
22 reduce or stop her thyroid medication was wrong or  
23 inappropriate, but I think for these purposes one could  
24 infer it.

25 What do you say about what you say in the letter at

1 page 9 to Miss C:

2 "The levels are a little on the high side but if  
3 you're not feeling any adverse effects I think you  
4 should stay at the same dose."

5 Is that appropriate or not as management of this  
6 patient?

7 A. It is absolutely appropriate.

8 Q. Thank you. You do ask her to let you know if she's  
9 thinking of changing the dose, particularly increasing  
10 it.

11 A. Yes.

12 Q. And so far as the cortisol level is concerned, you say  
13 that is satisfactory.

14 A. Correct.

15 Q. "You shouldn't entirely rule out the possibility of  
16 adrenal supplementation."

17 Again, is that appropriate or adequate, what would  
18 you say?

19 A. I think it's appropriate. Particularly you must take  
20 account of the -- perhaps the occupation of the patient,  
21 who will understand a sentence with a caveat, I would  
22 suggest.

23 Q. It's said:

24 "You failed to refer [her] to an endocrinologist to  
25 assess your suspicion."



1 communication with her GP, Dr Stewart, who wrote  
2 a letter to her that we have, and was forwarded to you.

3 It is page 3 where he says:

4 "I am pleased to tell you that your thyroid function  
5 tests are completely normal in indicating a very good  
6 thyroid function at the present time."

7 It is not clear who has written in the word "very":

8 "In addition, your tests for Hashimoto's thyroiditis  
9 are also negative, showing that you haven't had this  
10 condition up to now. It would neither be safe nor wise  
11 for us, Dr Skinner, or anyone else to start you on  
12 thyroxine."

13 He says:

14 "Undoubtedly, this will give you more energy and  
15 make you feel better, but it would cause your thyroid  
16 gland to switch off and could cause you to have  
17 overactive thyroid disease, which is known as Graves'  
18 Disease, and can lead to serious physical and mental  
19 consequences such as heart failure and mental illness.

20 "I know I won't be happy with this, but it's  
21 actually in your best interests for us not to give you  
22 thyroxine at the present time. Because of your strong  
23 family history I am prepared to send you a form for  
24 a repeat thyroid function tests in 12 months' time and  
25 hope you will agree this is fair and appropriate.

1            "We are not sending any patients to Dr Skinner at  
2            the present time and I trust he will be investigated by  
3            the General Medical Council to see if his practices are  
4            appropriate and safe, although I myself am not able to  
5            comment on this."

6            And someone has written in:

7            "You just have."

8            Is that you?

9            A. Yes.

10          Q. We then have at page 4 a letter to you from Patient D.  
11          It's not clear precisely what date this was prepared,  
12          but it would appear to be before you saw her on  
13          24th August and after there had been a telephone  
14          conversation with her on 21st July 2004, where she sets  
15          out her TSH results.

16          Are you able to tell us if you had spoken to the  
17          patient, as she suggests, on 21st July, would you have  
18          asked for any blood chemistry results? She's put in TSH  
19          results, antibodies test, and she's mentioned Dr Stewart  
20          had requested the T3 and T4 but they weren't completed.

21          Are you able to tell us why that might have been  
22          included in the letter? The information about her blood  
23          chemistry results.

24          A. I thought she was trying to be as helpful as possible.

25          Q. Would you have asked or not?

1 A. On the telephone?

2 Q. Yes.

3 A. Very probably, yes.

4 Q. We then have a patient questionnaire at page 6. I think

5 we probably need to see the original document to see

6 with complete clarity which items have been ticked and

7 highlighted.

8 Over the page, page 7, this is your letter to

9 Dr Stewart, saying -- and before you've seen Patient D,

10 that:

11 "She's passed me a letter from you to her."

12 You say:

13 "I am sure you know that I've never met this

14 patient..."

15 Because you haven't seen her yet.

16 "... and thus the predication that I would be

17 prescribing thyroxine to her would be presumptuous to

18 say the least."

19 You go on to take issue with Dr Stewart about

20 various matters.

21 The final paragraph, the Panel can read for

22 themselves, but you are concerned about what is being

23 said about you; can I put it in those terms?

24 A. Yes, indeed.

25 Q. There is then on page 8 another questionnaire and

1 the suggestion was, I think, that this may have come  
2 from the Internet.

3 A. I think so, yes. I think there are a number of such on  
4 the Internet, quite useful.

5 Q. But again, Patient D has completed this document and put  
6 in a number of entries, and if we go on to page 10,  
7 again it's clearly the same patient and we've got the  
8 date of the consultation, 24th August 2004 on the top  
9 right-hand corner, she sets out again the blood test  
10 results.

11 Three-quarters of the way down the page she says:

12 "I have not felt completely well since the last  
13 pregnancy six years ago which is a Caesarean section."

14 And mentions taking Prozac for nearly two years.

15 She says, "Constantly slept, felt like a zombie!"

16 We then have your notes of consultation of  
17 24th August 2004.

18 The notice of inquiry, perhaps we can look at this  
19 at this point, dealing with heads 25 and 26. 25a has  
20 been admitted, namely that you saw her without a  
21 referral from her GP on 24th August 2004.

22 Heads b and c, the allegation is that:

23 "You took an inadequate history."

24 And:

25 "Carried out an inadequate examination."

1           Those you will deny.

2   A.   Yes.

3   Q.   We will come on to what took place at the consultation

4       in a moment.  It's said that:

5           "She informed you that her recent blood tests had

6       shown her TSH ... to be within the reference range."

7           That was not admitted at an earlier point.  Do you

8       agree that her recent blood tests have her TSH level

9       within the reference range?

10  A.   Yes.

11  Q.   So the Panel again may want to write in "admitted in

12       evidence" for that amended head of charge.

13       It's said that:

14       "You took a blood sample for thyroid chemistry ..."

15       And that's been admitted, and it's said:

16       "You provided Mrs D with a prescription for

17       thyroxine [I think, we have amended in] 25 micrograms

18       for seven days, followed by 50 micrograms for 21 days.

19       75 for 21 days ... 100 micrograms for 60 days."

20       That's been admitted?

21  A.   Yes.

22  Q.   It's said that your prescribing for Mrs D was

23       inappropriate, unnecessary, irresponsible, and that same

24       cluster of allegations, and those are denied.

25  A.   Denied.

1 Q. Let's just go through your note, if we may, because the  
2 photocopying's not best on this one and the Panel may  
3 want to compare it with the transcript that's been  
4 provided.

5 I am just going to ask you to read us precisely what  
6 you've written. "PCO"?

7 A. That's "patient complains of".

8 "Ill for five and a half years since her last baby.  
9 Rises at lunchtime or between 11.00 and 12.00. Prefers  
10 being in bed. Is tired but poor sleeping. Appetite is  
11 good. IBS [that is irritable bowel syndrome]. Weight  
12 has increased by five stone."

13 THE CHAIRMAN: Excuse me, sorry to interrupt. Just for  
14 everyone's knowledge here, we're on tab 8, page 11?  
15 Is everyone happy with that?

16 MR JENKINS: It's my fault, I'm sorry. I hope no one's been  
17 misled.

18 THE CHAIRMAN: Thank you.

19 A. "Weight had increased five stone. Won't weigh herself.  
20 Aches and pains. Aches all over. Particular lower  
21 back. Poor concentration and memory. Asocial."

22 MR JENKINS: On the right-hand side now?

23 A. I'm sorry, I have gone over to the right. I'm trying to  
24 do the same sort of group of things together:

25 "Asocial weepy plus plus. Irritable."

1 Q. What's the "PM"?

2 A. I don't know, sorry. I just don't know what that is:

3 "Pill makes aggressive. Periods regular."

4 Then before the examination, if we go up --

5 Q. Can we do the left-hand side.

6 A. Yes, that's what I was going to do, top of the left now

7 Patient D, three children, 12, a boy, 9 years -- sorry,

8 12, a girl, 9 years a boy, and I haven't made a note of

9 what the last child was:

10 "Caesarean section. Query 11 grams per 100 mills of

11 haemoglobin. Under 11.4 ... "

12 I don't know if I ought to provide an explanation

13 but that's what Patient D said her haemoglobin had

14 always been.

15 "Fags, five per day. Single parent, coming and

16 going."

17 Q. Do you know what that means?

18 A. Actually I think it may be caring, sorry.

19 Q. Don't worry.

20 A. I'm not particularly sure what that means.

21 Q. It may be we can get the original and the copy will be

22 better, but we can perhaps look at that later.

23 A. Not very good writing:

24 "On examination, side visions of wiggly lines,

25 somebody there at her side. Never on the floor.

1 Hawking plus. Breasts [tick]."

2 If I may, that means she checks her breasts  
3 regularly.

4 Then:

5 "Has taken 12.5 micrograms of thyroxine for one week  
6 and then 250 micrograms of thyroxine for three weeks,  
7 some years ago.

8 "Her tongue was chunky and feels too big. Hair thin  
9 and rough. Greyness. Thyroid plus plus, smooth, not  
10 sinister. Blood pressure 120 over 80, pulse 66 per  
11 minute, regular."

12 Q. Just remind us, the blood pressure and pulse, where do  
13 those fall on a scale of normality?

14 A. That, I think, would be considered perfectly normal, the  
15 pulse perhaps a little slower, but many people's pulse  
16 runs at that level.

17 Q. Right. What does it say, "[Something] okay"? Is that  
18 "diabetes okay"?

19 A. That is "diabetes okay", that is asking her if this has  
20 been checked out in sort of general terms.

21 Then my copy's sort of executed at the bottom, it  
22 says "FT4 TSH", that is a blood test. Then I think --  
23 it is cut off, I think it would say thyroxine, that is  
24 my intention at that stage.

25 Q. Well, if you turn over the page, 12, the next page,

1 I think is a prescription.

2 A. Yes.

3 Q. Dated the same day as the consultation with the patient.

4 A. Yes.

5 Q. And on the following day, page 13, if you would, this is  
6 your letter to Dr Blanchard, who, as we will recall, was  
7 the name of the doctor that Miss D had put on the  
8 questionnaire.

9 A. Yes.

10 Q. You say:

11 "Miss D has requested that I write to you as she  
12 recently came to see me at my rooms."

13 You say:

14 "The difficulty here is that I thought that such  
15 a note was perhaps coming from Dr Stewart but in the  
16 interim he has written to D, including remarks,  
17 statements of my practice. Again, my apologies as I've  
18 seen this patient without referral from your practice  
19 which is, of course, quite legal but we do try very hard  
20 to work in a collegiate fashion with our family  
21 practitioner colleagues."

22 You then go on to set out I think what you found  
23 with the patient, and it includes the comment:

24 "TSH levels are good servants but bad masters."

25 You go on over the page to say:

1           "On balance and if the blood tests don't show  
2 anything au contraire, I think there is a good case for  
3 a thyroid replacement in this patient whose quality of  
4 life at the moment seems low."

5           You refer to the fact that she's a single parent  
6 with three young children. You say:

7           "I will let you know what goes forward with her  
8 thyroid chemistry and look forward to working with you  
9 on this patient."

10          Was there then a blood test, the result of which  
11 we have at page 16? Yes?

12 A. Sorry, yes.

13 Q. I'm going to ask you to turn back to the notice of  
14 hearing if you would. We've dealt with 25, we've looked  
15 at the prescribing, and I think you've told us you deny  
16 any allegation that your prescribing was inappropriate  
17 or irresponsible.

18          We now have head 27a, which deals with this blood  
19 test.

20 A. Yes.

21 Q. And it's said:

22          "On or about 3rd September you received the result  
23 of this blood test that showed her T4 and TSH levels to  
24 be within the reference range."

25 A. Yes.

1 Q. I think you accept that.

2 A. Yes.

3 Q. The Panel will then see head 27b and c, which are  
4 admitted, namely that there was a letter to the GP on  
5 3rd September.

6 Can I take you to that, please, it's page 17.

7 Sorry, that is a letter to the patient. I beg your  
8 pardon. The letter on the practice is on a different  
9 page, it's page 15, I'm sorry.

10 You said you enclose Miss D's thyroid chemistry,  
11 "although we still await her FT3 reading.

12 You say:

13 "While her FT4 is a little low, albeit within the  
14 95 per cent reference interval, I would be quite  
15 prepared to institute a four-month trial of thyroid  
16 replacement but will not proceed thus far for ten days  
17 to allow you the opportunity to comment."

18 They responded on 7th September, and we have that  
19 letter on page 19.

20 Again, we've looked at it before but it has been  
21 admitted on your behalf that included within the body of  
22 that letter, Dr Stewart and two of his colleagues say  
23 that they feel it's not safe or appropriate for Miss D  
24 to have thyroxine at that point.

25 You did see her again on 18th November 2004, that

1 has been admitted, 28a and 28b. You prescribed for her  
2 Sodium Thyroxine at 125 micrograms a day for three  
3 weeks, followed by 150 micrograms a day for three weeks,  
4 followed by 175 micrograms a day for three weeks.

5 Can I just take you to the documents that deal with  
6 that. Your notes for 18th November 2004 are on page 24.  
7 We'll come back to those in a moment, but can I invite  
8 you to turn to page 25 to see the letter you wrote to  
9 the GP:

10 "A note on Mrs D who came to see me today. I am  
11 delighted to say she is much improved with a reduction  
12 of a number of here hypothyroid features. I think she  
13 still has some way to go. I have laid out a treatment  
14 programme and we will review her again in three months'  
15 time."

16 Well, let's turn to your notes, the previous page,  
17 if we will, please. Can you just tell us what you've  
18 written. Does it start "Looking better"?

19 A. "Crying problem".

20 Q. "Crying problem", thank you.

21 A. Yes:

22 "Memory, concentration better. Aches, pains better  
23 weight same. Less S/Vs."

24 That is side vision, that is my shorthand for myself  
25 for side vision hallucinations. "Hawking less", hawking

1 is kind of coughing and --

2 Q. I understand.

3 A. "Chocolate digestives, bowel aches."

4 That is chocolate digestives give the lady bowel

5 aches.

6 Q. Just explain that to us again.

7 A. Patient D said chocolate digestives gave her bowel

8 aches.

9 Q. All right.

10 A. Then over on the left:

11 "Fags were now 10 to 15 per day."

12 Up at the top there is a (d) in the top, that is my

13 understand for 100 micrograms per day, present dose of

14 thyroxine:

15 "Tongue and thyroid were" --

16 Sorry, I'm not reading it out exactly as I should:

17 "Tongue plus, thyroid plus, both bracketed, both

18 smaller."

19 Q. Which means what?

20 A. They are still bigger than they should be but they're

21 both, I thought, less:

22 "Pulse 70 per minute. Nails ..."

23 I don't put anything beside "nails", that would

24 normally mean nails are improving but obviously that's

25 speculation:

1 "BP 120 over 60.

2 "Treatment plan, proceed to 125 micrograms a day  
3 three weeks, 150 micrograms a day three weeks, then  
4 175 micrograms six weeks, and take 1,000 micrograms,  
5 [which is one milligram] of B12 per day."

6 I think what I've perhaps rather absent mindedly  
7 then written down is, she said, "Where can I come get  
8 them?", and I've said "Holland and Barrett".

9 Q. Like these?

10 A. I think so, I'm not sure.

11 Q. Right. Again, it's said of that prescribing it was  
12 inappropriate, unnecessary, irresponsible, and matters  
13 of that nature. You deny those allegations.

14 A. Yes, I do.

15 Q. It's said you suspected a diagnosis of B12 deficiency.  
16 Plainly you suggested she should take some vitamin B12.

17 A. Yes.

18 Q. And we have the same allegation that we have seen twice  
19 before in patients A and C, but:

20 "In the light of your suspicion you failed to  
21 perform any investigation on Mrs D to assess her B12  
22 deficiency."

23 A. That is correct on this occasion.

24 Q. What, that you failed?

25 A. Yes, I never prescribed it in either of the two previous

1 occasions.

2 Q. Let's move on to the next time. Head 31a deals  
3 with January 2005 and refers to a blood test. It must  
4 be page 26.

5 This is a blood test from August 2004 and it is  
6 said:

7 "On or about 6th January 2005 you received [that  
8 test]."

9 A. That doesn't seem quite right. I wonder if something's  
10 somehow gone a bit astray here.

11 Q. Page 26, right at the bottom, the --

12 THE CHAIRMAN: Could I just suggest, is this the T3  
13 following on -- you want the measurement of the T3, so  
14 this followed on?

15 A. Yes, ma'am, that is correct.

16 MR JENKINS: I think it is. But the date of 6th January  
17 does appear right at the bottom by the number, right in  
18 the bottom right-hand corner, underneath the name of the  
19 technician.

20 A. If I could help, Mr Jenkins, the T4 and TSH are,  
21 of course, the same.

22 Q. It's from the same blood sample.

23 A. Yes, that's right, and then this is a later report of  
24 the T3.

25 Q. We have looked at page 16 where it says "Free T3 results

1 to follow" and it took some time clearly for them to  
2 come through.

3 A. I think it may have taken time for us to put them in the  
4 notes to the laboratory. I'm not sure.

5 Q. That may or may not matter, but what's said is:

6 "On or about 6th January [in other words shortly  
7 after this report was published] you received a further  
8 result from the blood sample taken by you on 24th August  
9 showing that [is how it's been amended] the level of  
10 Tri-iodothyronine was within the reference range."

11 A. Yes.

12 Q. And I think we accept that that's right.

13 A. Absolutely.

14 Q. So that having been not admitted, you now accept after  
15 the amendment.

16 31b is the admitted allegation that:

17 "On 23rd February 2005 you provided Mrs D with  
18 a prescription for Sodium Thyroxine, 125 micrograms  
19 a day for three months."

20 Can we look at the prescription, please, it's  
21 page 28.

22 If we go two pages earlier I think we see your --  
23 one page earlier, 27, again there are two copies of this  
24 page in the bundle, but this deals with  
25 13th February 2005 when you saw Mrs D again.

1 A. Yes.

2 Q. I wonder if I can ask you just to read out what your  
3 note says. You have put "D" which is your shorthand for  
4 what the patient is presently on.

5 A. Exactly. It means dose basically. Dosage.

6 She had been taking -- that one month is shorthand  
7 again. That's the length of time she has been taking  
8 that:

9 "Shortfall in energy. Weight, will not disclose."

10 Q. She wouldn't tell you?

11 A. No:

12 "No side vision hallucinations. Memory and  
13 concentration had improved."

14 Over on the left, "Is definitely better", that is  
15 just a general statement of how -- the patient's first  
16 response, how are you, kind of thing:

17 "Pulse 70 per minute, regular. Tongue still bulky.  
18 Thyroid just palpable. BP 120 over 70."

19 Q. Forgive me, the plus over minus, that's less marked than  
20 the plus --

21 A. Yes, it's a commonly used shorthand term for just  
22 meaning a bit in one direction.

23 Q. Right.

24 A. It's not very specific.

25 Then the treatment plan I laid out was in fact 125

1 a day for -- that she was in fact presently taking, so  
2 that was not for any length of time.

3 Then 125 alternating with 150 for three, four weeks  
4 and then 150 for four weeks, and then see the patient in  
5 three months' time.

6 Q. I think if we then go on to your letter to Dr Blanchard  
7 at page 29 following that consultation and the  
8 prescription that we've just looked at, you say:

9 "A note on Mrs D who seems to be on a reasonable  
10 track. She's certainly noticed a significant reduction  
11 in her hypothyroid features, although she does seem  
12 somewhat nervous of this perfectly safe medication and  
13 rather fiddles about with the dose. I'm not too  
14 concerned about this within reasonable limits.

15 "I've laid out a very slowly incremental programme  
16 for Mrs D wherein she should be taking 150 micrograms  
17 thyroxine alternating with 175 micrograms of thyroxine  
18 per day in two months' time when I will review her and  
19 keep you abreast of developments with this lady."

20 We then go on to the next page. The Panel will want  
21 to look at head 31c.

22 It has been admitted that on 13th May 2005 you  
23 prescribed 150 micrograms a day of thyroxine for Mrs D.

24 There are then your notes for August 05  
25 and November 05.

1                   Did you see her in August 05?

2    A.   Yes.

3    Q.   Can you just take us through the note, does it say

4           "well"?

5    A.   Yes, "Well, feels good."

6    Q.   "Weight increased two stone"?

7    A.   Yes, that's what Mrs D said, but she said she had been

8           eating a lot of chocolates, cakes, bread, and potatoes.

9           "Knees/ankle" means that's improved. Memory

10          concentration better, and libido was better:

11                 "Pulse 70 per minute, temperature 37 degrees

12                 Centigrade. Thyroid had moved from plus minus to

13                 plus..."

14                 Basically the thyroid gland is kind of feelable

15                 just, "Tongue still biggish".

16    Q.   Biggish?

17    A.   Biggish. That's what it says.

18                 The plan was then to take 175 micrograms for six

19                 weeks followed by 200 micrograms for six weeks and

20                 I would see her in three months and do a blood test.

21    Q.   I think that's in fact what you prescribed and the Panel

22                 will see the prescription at the following page,

23                 page 32.

24                 That allegation that on 16th August 05 you did

25                 provide her with a prescription at those levels.

1 A. Yes.

2 Q. That is admitted 31d, and I think it's accepted -- I'm  
3 sorry, I should complete the August matters.

4 Page 33 you write again to Dr Blanchard in  
5 Kidderminster, saying:

6 "Mrs D continues to improve on thyroid replacement,  
7 but with a caveat."

8 You plan that she increase to 125 micrograms a day  
9 but in fact she's still taking the 150 micrograms?

10 A. If I may interrupt, I think that is actually a typing  
11 error. That should be 175. My apologies for that,  
12 I have only just noticed that now. It doesn't make any  
13 sense otherwise. Also, grammatically it should "I had",  
14 not "I has".

15 Q. I am sure we understand that. You didn't type it?

16 A. I didn't, I don't know how to.

17 Q. You say:

18 "I hope this is a reasonable way forward and I'll  
19 keep you abreast of further developments at my end of  
20 things."

21 Then if we turn to page 34, this is head 31e in the  
22 notice of hearing, it has been admitted that you did  
23 receive this letter in which the GP, Dr Stewart, says  
24 the doctors at the practice didn't agree that Mrs D  
25 should be taking thyroxine and requested that you

1 discharge her from your care.

2 I think at the next page, 35, we have a letter from  
3 you to the General Medical Council asking their advice.

4 A. Yes.

5 Q. You say:

6 "I saw a lady [and you give the date in August 04]  
7 who indicated she was bringing a letter of referral from  
8 her family practitioner but didn't do so."

9 And you mention the Interim Orders Panel.

10 You say:

11 "The patient's family practitioner has asked that  
12 I discharge the patient from my care as this colleague  
13 does not believe that the patient was hypothyroid. This  
14 is a perfectly proper difference of opinion, although in  
15 fairness the patient has improved notably on thyroid  
16 replacement, thus the patient does not wish to be  
17 discharged from my care and I am prepared to continue to  
18 see her. I don't know what to do."

19 I don't know if the GMC were in a position to give  
20 a response on a specific case?

21 A. I don't know either. They declined to do so.

22 Q. Did you write back to Dr Stewart at page 36?

23 A. Yes.

24 Q. Saying that you were honestly not sure what to do, you  
25 sought advice from the GMC.

1 A. Yes.

2 Q. Did you see the patient again, and I'm looking at  
3 page 37, in November 2005?

4 A. November?

5 Q. November, I'm sorry. I did not --

6 A. No, you said that.

7 Q. Page 37.

8 A. Yes.

9 Q. This is --

10 A. Yes, I did.

11 Q. -- Mrs D filling out another questionnaire.

12 A. Yes.

13 Q. It's headed "Hypothyroid questionnaire. Follow-up  
14 patient."

15 A. Yes.

16 Q. And she gives her details.

17 A. Yes.

18 Q. We then have two pages on, at page 39, a clinical note  
19 apparently for 18th November 2005.

20 A. Yes.

21 Q. And a prescription in the intervening page for 16th  
22 November 2005.

23 A. Yes.

24 Q. Can you tell us how the prescription might be dated two  
25 days, apparently, before you saw the patient?

1 A. Yes. I think it's probably me -- did not -- it's  
2 a dreadful confession but I often write the wrong date  
3 down. I'm never really sure what date it is. I don't  
4 think I would write it before I saw the patient. That  
5 would be highly eccentric.

6 Q. I understand. A mistake has been made in the date.  
7 Is that the answer?

8 A. That is the answer, yes.

9 Q. By someone or other?

10 A. It would be me.

11 Q. Probably you.

12 A. Right.

13 Q. The prescription then is dated 16th November for  
14 Sodium Thyroxine, 200 micrograms per day for three  
15 months?

16 A. Yes.

17 Q. And it's been admitted that you provided -- sorry,  
18 head 31f: you provided Mrs D with a prescription dated  
19 16th November 2005 --

20 A. Yes.

21 Q. -- 200 micrograms per day for three months.

22 A. I suppose it wasn't the 18th, I suppose it was the 16th.  
23 I think it would be the 18th but I have written 16th, so  
24 I don't know where that goes.

25 Q. It may not be the most important --

1 A. Fine, thank you.

2 Q. And clearly you accept in November, mid-November, you  
3 saw the patient --

4 A. Yes, absolutely so.

5 Q. It's then alleged -- and this has not been admitted --  
6 at 31g that:

7 "Between 17th November 2004 and 16th November 2005  
8 you failed to monitor Mrs D adequately or at all."

9 Well, you've seen her in February 05, May 05,  
10 and August 05. What do you say about the allegation  
11 that you failed to monitor adequately or at all?

12 A. I would deny that. I don't actually understand it.

13 Q. Well, I think what's being suggested is that you weren't  
14 undertaking an adequate review of the treatment that was  
15 being provided to her.

16 It's then alleged at 31h that on or about  
17 24th November 2005 you received the results of a blood  
18 test sample, which showed that she had become  
19 biochemically thyrotoxic.

20 A. That's denied.

21 Q. And the blood result is page 44 where the FT4 result is  
22 27.2, and the TSH result is less than 0.1 milliunits per  
23 litre.

24 A. Yes, that's denied.

25 Q. It's said that that was an overreplacement as a result

1 of your prescribing thyroxine.

2 A. I don't accept that.

3 Q. Again, the same allegations that we've seen many times  
4 before, it's said that your prescribing in relation to  
5 that period for Mrs D in 2005 was inappropriate,  
6 unnecessary, and irresponsible and not in the best  
7 interests of the patient.

8 A. I don't agree.

9 Q. Head 33, I think, is accepted in relation to the  
10 invitation by the GMC to undergo an assessment. Your  
11 initial acceptance and subsequent change of mind for the  
12 reasons that you've set out in the letter that we've  
13 looked at once before.

14 A. Yes.

15 MR JENKINS: Thank you very much, Dr Skinner. Would you  
16 wait there because you will be asked questions at some  
17 point by others.

18 THE CHAIRMAN: As it's 4.30 I think this will be an  
19 appropriate time to adjourn and we will start again at  
20 9.30. Thank you.

21 (4.30 pm)

22 (The hearing adjourned until 9.30 am,  
23 on Wednesday, 11th July 2007)

24

25

INDEX

1		
2		
3	DR GORDON SKINNER (affirmed) .....	1
4	Examination-in-chief by MR JENKINS .....	1
5		
6		
7		
8		
9		
10		
11		
12		
13		
14		
15		
16		
17		
18		
19		
20		
21		
22		
23		
24		
25		

