

1

2 GENERAL MEDICAL COUNCIL

3

FITNESS TO PRACTISE PANEL (MISCONDUCT/PERFORMANCE)

4

5

On:

6

Thursady, 12th July 2007

7

8

Held at:

St James's Buildings

9

79 Oxford Street

Manchester M1 6FQ

10

11

Case of:

12

GORDON ROBERT BRUCE SKINNER MB ChB 1965 Glasg SR

Registration No: 0726922

13

(Day 9)

14

15

16

Panel Members:

Mrs S Sturdy (Chairman)

17

Dr M Elliot

Mr W Payne

18

Mrs K Whitehill

Mr P Gribble (Legal Assessor)

19

20

21

MR A JENKINS, Counsel, instructed by RadcliffesLeBrasseur,
Solicitors, appeared on behalf of the doctor, who was
present.

22

23

MR T KARK, Counsel, instructed by Eversheds, Solicitors,
appeared on behalf of the General Medical Council.

24

25

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Thursday, 12th July 2007

(9.30 am)

(Panel in camera)

(9.40 am)

THE CHAIRMAN: Good morning, everyone. Good morning,
Dr Skinner.

A. Good morning, ma'am.

THE CHAIRMAN: I just want to remind everyone, in order to
maintain the anonymity of the patients involved in the
case should their names be mentioned in error, please do
not refer to them outside ever outside of the room.
Mobile phones to be turned off and if you do have any
discussions, please have them outside.

We are now still hearing questions from the Panel,
which is where we finished last evening, and Dr Elliot
has one more question for Dr Skinner, and then we will
proceed with the barristers' questions.

We will finish the days at 5 o'clock, unless there's
prior notice from now on.

MR JENKINS: Can I just apologise for being slightly late?

THE CHAIRMAN: That is all right, thank you.

DR GORDON SKINNER (continued)

Questions from THE PANEL (continued)

DR ELLIOT: Good morning, Dr Skinner. Sorry, this is an
area I had forgotten to ask you about yesterday. It's

1 in connection with one of the charges, charge 10b, if
2 you have the charge sheet there. I will just speak as
3 you're looking for that.

4 This is Patient B that we are talking about who is
5 a lady from Scotland.

6 Charge 10b says:

7 "Between 20th March 2003 and 21st January 2004 you
8 failed to monitor Miss B adequately or at all."

9 That's the area I want to ask you about.

10 If you look at the large file, C1, tab 4, those are
11 your notes pertaining to Patient B. On page 6 is your
12 letter to her GP after you saw her on 20th March 2003.

13 A. I'm sorry, Dr Elliot, something has gone astray here.
14 Can you, please, remind me of the page again?

15 Q. Yes, it's tab 4, page 6.

16 This is your letter to Dr Blair, the patient's GP.
17 It's the last paragraph in which you say:

18 "I perhaps just need to see her once more in about
19 eight weeks' time."

20 Was it your intention to see Patient B in eight
21 weeks' time after her first visit?

22 A. Yes, indeed.

23 Q. It was?

24 A. Yes.

25 Q. Do you know why that didn't happen?

1 A. Yes. She told me Dr Blair was looking after her and was
2 very happy with the situation. That is what I strive
3 for anyway.

4 Q. Yes. So were you in touch with her then, after that
5 first visit, before the eight week point, at which you
6 had intended to review her? Because you said that as
7 a rule the patients made their follow-up visit when they
8 left after their --

9 A. Yes, that's quite right. She was in fact, as I said to
10 the Panel before, a friend of the practice manager, so
11 in fact I would see her informally almost every time
12 I went to the rooms in Glasgow. I hope I'm answering
13 your question. I was very happy that Dr Blair was --
14 who saw her many times, very diligently -- looking after
15 her.

16 Q. As far as you actually recall, the patient said Dr Blair
17 was looking after her?

18 A. Oh, absolutely, yes.

19 Q. And then on page 7 of the same file, this is a form
20 which I think your practice sent out to patients.

21 A. We wouldn't normally send this out. That form would
22 normally be filled in by the patient at a visit.

23 Q. Right. This form says that the last checkup was on
24 15th May 2003. That coincides with a checkup that the
25 patient had with her own doctor, Dr Blair.

1 A. Yes, that's right.

2 Q. So that information was information that you had, in
3 your practice, that you had this evidence, if you like,
4 completed by the patient that she had seen a doctor on
5 15th May 2003, approximately two months after your first
6 visit?

7 A. Yes, indeed.

8 Q. That would normally be completed by the patient at the
9 surgery, it wasn't posted out to them?

10 A. No, we wouldn't post that out.

11 Q. And you don't recall at what point this was --

12 A. I can't recall when this was filled in precisely.

13 Q. Because it must have been after 15th May?

14 A. Yes, it must been, yes.

15 Q. Probably from the sound of it, before 17th June, because
16 it says 100 micrograms of thyroxine, until 17th June.
17 But that's speculation.

18 A. That is speculation.

19 Q. But this is some evidence that the patient had been seen
20 by a doctor on 15th May?

21 A. Yes, and these are Dr Blair's notes, of course, as well.

22 DR ELLIOT: Thank you very much.

23 THE CHAIRMAN: That concludes the Panel's questions. Now
24 further the questions from Mr Kark.

25 Further cross-examination by MR KARK

1 MR KARK: Just in relation to those questions you were just
2 asked, you are saying that this patient was telling you
3 that Dr Blair was happy, when we know, of course, that
4 Dr Blair was not. Is that what you're telling the
5 Panel?

6 A. I don't recall saying that, Mr Kark. I said that I was
7 aware, and the patient said she was seeing Dr Blair.

8 Q. Yes, but we know that Dr Blair wasn't happy at all,
9 don't we, because he was telling his patient --

10 A. We know it now.

11 Q. Do we have to go through that again?

12 We know that he was telling her not to take
13 thyroxine. If you want to have a reference to it again,
14 have a look at tab 3, page 28, if you've forgotten what
15 Dr Blair was telling his patient.

16 He told her repeatedly to stop taking thyroxine,
17 didn't he?

18 A. Dr Blair told the patient?

19 Q. Yes.

20 A. Why did Dr Blair not tell me?

21 Q. Well, that wasn't the question.

22 A. It is relevant.

23 Q. Dr Skinner, what I asked you was whether the patient --
24 you said the patient was telling you one thing. We know
25 the patient was being told by her GP something quite

1 different, don't we? Do you accept that?

2 A. I accept that the patient --

3 Q. Right.

4 A. I didn't finish what I said.

5 Q. Go on then.

6 A. May I finish, please?

7 Q. Yes, please.

8 A. My memory of three years ago and this patient was --

9 I think she did indicate that Dr Blair wasn't overjoyed

10 about her. I don't remember any communication to me to

11 the level which seems to retrospectively be displayed.

12 Q. Well, if the patient was telling you that Dr Blair was

13 not overjoyed about it, presumably not overjoyed about

14 you prescribing thyroxine?

15 A. If a colleague was not overjoyed, I would have thought

16 the colleague would make contact with me.

17 Q. Sorry, can I just remind you of evidence you gave to the

18 Panel a few minutes ago. Dr Blair was very happy with

19 the situation. Which one is it, please? Was Dr Blair

20 very happy with the situation, according to the patient,

21 or was the patient telling you that Dr Blair wasn't

22 happy? Which is it?

23 A. I don't remember saying that the patient said Dr Blair

24 was happy.

25 Q. Well, that's the note I've got. I don't know if the

1 Panel members --

2 A. Is that correct? It seems very incongruous.

3 Q. Perhaps I could ask for a transcript.

4 THE CHAIRMAN: Yes. I have: patient said Blair was looking
5 after her absolutely. I don't know that I have the
6 words "happy". Do you have the word "happy"?

7 MR KARK: I would be happy if we could ask for the
8 transcript.

9 I thought you said -- let me just make it clear,
10 because if I've got my note wrong, which it is quite
11 possible: she had told me that Dr Blair was very happy
12 with the situation, I would see her informally.

13 Can I just ask, if I've got that wrong, I should
14 correct my note, and I will obviously withdraw my note
15 straight away.

16 (Record read)

17 MR KARK: So the patient was very happy that Dr --

18 THE CHAIRMAN: No.

19 MR JENKINS: Dr Skinner was happy --

20 A. That's the first person singular, Mr Kark. I was happy
21 that she was being so competently monitored.

22 MR JENKINS: Everybody was happy except Dr Blair.

23 MR KARK: You never realised then, is that what you're
24 saying, that Dr -- let me start again. Did you realise
25 that Dr Blair was advising the patient that she should

1 stop taking thyroxine?

2 A. I -- was never presented to me in such strong terms.

3 Q. Well, then, how did you understand the situation

4 in relation to what Dr Blair was telling his patient?

5 A. Right, well, my memory of it some years ago, and it's

6 quite hard to remember your every thought every day, my

7 feeling of the matter was Dr Blair was not particularly

8 in favour of it. I'm sorry to be reiterative, but I've

9 always rather assumed that you wouldn't receive an

10 active communication in this way from a patient. You

11 would expect the practitioner to advise you accordingly,

12 not a vague sort of feeble he was perhaps feeling

13 a little unhappy about it. I feel I behaved quite

14 correctly there.

15 Q. Yesterday you were asked by Mrs Sturdy about your

16 experience of whether the thyroid would recover after

17 a period of treatment with thyroxine. Do you remember

18 that?

19 A. I do.

20 Q. Is it right that your evidence is that, in your

21 experience, the thyroid would recover and would even

22 recover a long time after the use of thyroxine?

23 A. Yes. My experience is that if a patient stops the

24 medication, they don't go into a severely hypothyroid

25 state because the thyroid's been irrevocably damaged.

1 It seems to me the patients I've seen, they just return
2 to their previously parlous state. There isn't
3 a permanent damage to the thyroid gland.

4 Q. Your experience of dealing with these complaints dates
5 back to, what, 97 at the earliest?

6 A. Which complaints are these?

7 Q. Thyroid complaints.

8 A. Oh, seeing patients? Yes, about that. In a significant
9 way from about then.

10 Q. What I'm going to suggest based on the evidence that was
11 given, I think it was by Mr Lynn, was that the thyroid
12 would shrivel and atrophy, and it would not recover, if
13 the patient had been using thyroxine for a long period
14 of time. Do you accept that or not?

15 A. I've just provided evidence which I think gainsays that
16 contention.

17 Q. Can you just help us: what is that based upon? Which of
18 your patients had you stopped giving thyroxine to whose
19 thyroid has been recovered after, say, a ten-year
20 period?

21 A. Do you mean -- I'm not absolutely sure what you mean.

22 Q. You're telling the Panel --

23 A. You mean the patients -- can I identify the patient for
24 the Panel this morning?

25 Q. You're telling the Panel that your experience is that

1 the thyroid will recover after a long period of use by
2 the patient of thyroxine. I want to know what that's
3 based on. When have you stopped giving a patient
4 thyroxine and then you found that the thyroid recovered?

5 A. On quite a number of occasions the medication has been
6 stopped and the patient has returned to where the
7 patient was before. Now, commensurate -- if the
8 experience of a clinical outcome had been that the
9 thyroid had shrivelled and was unable to recover, which
10 Mr Lynn suggested, the patient would continue or would
11 be in a seriously hypothyroid state. My experience is
12 the patient just goes back to where they were.

13 Q. Sorry, how long ago did this happen? What was the last
14 occasion on which you stopped a patient taking thyroxine
15 and the thyroid recovered?

16 A. Do you mean at what point in time?

17 Q. Yes.

18 A. That's quite a difficult question. I've got to scan my
19 practice at the drop of a hat. But I can try.

20 I wonder if we could get an example, not a perfect
21 example, from Patient C. Now, this of course, would be
22 in the caveat that neither Mr Lynn nor Professor Weetman
23 feel the patient was ever hypothyroid. But working on
24 the operative belief that I thought she was, yet when
25 she was stopped, and indeed Patient B -- they are not

1 worse than they were. Patient B is as hypothyroid as
2 I think she was when we started.

3 Q. But, Dr Skinner, I don't want to spend too long on this.
4 Patient B, you put onto thyroxine in March of 2003 and
5 she came off thyroxine in October of 2004. Patient C,
6 you put onto thyroxine in March of 2004, she was off it
7 by October of 2004. Those aren't long periods of use of
8 thyroxine, are they?

9 A. No, obviously they're not prolonged periods.

10 Q. Let me turn to another part of your evidence to
11 Dr Elliot when she was asking questions. You were asked
12 a question, I think I might have asked: why do blood
13 tests at all?

14 You said:

15 "I do take cognisance of it."

16 Can we take it that that answer is really
17 in relation to taking cognisance of blood tests prior to
18 starting thyroxine treatment as opposed to once you have
19 started it, or does it apply to both?

20 A. It would apply to both.

21 Q. Well, I don't want to flog a dead horse for too long,
22 but once you started thyroxine, I thought your evidence
23 was that there was no level of TSH with which you would
24 be uncomfortable?

25 A. I think we were talk there in terms of a patient under

1 treatment. I think I've said quite a few times to the
2 Panel that there is value in blood tests, and I outlined
3 four or five examples.

4 I think we were talking specifically -- I hope I'm
5 correct -- in monitoring a patient whose TSH can shoot
6 down quite early on in treatment. I think the evidence
7 is very clear, indeed Professor Toft in Edinburgh, who's
8 worked in this field for many years, I think he is now
9 coming round to this view, that a TSH level may often
10 need to be zero for a patient to return to wellbeing.
11 I think he's very clear on this point.

12 Q. I'm sorry, the question was: when you gave that reply to
13 Dr Elliot about taking cognisance of blood tests, are
14 you saying that prior to starting treatment, or during
15 treatment, or both?

16 A. I think I said both.

17 Q. So your answer that you gave us, I think at least a day
18 ago, about that there was no TSH level with which you
19 were uncomfortable, that still stands, does it?

20 A. I thought I had explained that that was patients under
21 treatment. I'm very uncomfortable if a patient has
22 a TSH level of 66.

23 Q. Again asked by Dr Elliot, you mentioned your experience
24 of nurses who used to take thyroxine so that they would
25 be, as you put it, "jollier at the dance". Their

1 experience appeared to be, did it, that they get a buzz
2 out of it?

3 A. I don't think I asserted that. I said they said they
4 would do that because it would make them more of the
5 belle of the ball. Indeed -- I think they realised very
6 soon that it didn't, and I don't think people do such
7 a foolish thing really now. I think there's no question
8 that people become -- they do not become euphoric or --
9 if they take thyroxine.

10 Q. But that was their perception, was it, that they got
11 a buzz out of it? In the same way as somebody perhaps
12 taking amphetamine, they got a buzz out of it? Is that
13 their perception?

14 A. I can't remember their perception when I was a house
15 officer. It's more years ago than I care to remember,
16 Mr Kark.

17 Q. Yes, it's 1969.

18 A. It's indeed more years ago than I care to ...

19 Q. In answer to a question from Mr Payne, just two matters
20 I think I want to ask you about. You said you see about
21 1,000 patients a year, about 100 to 150 new patients
22 a year. Yes?

23 A. Yes, about.

24 Q. The majority fall within -- I think you said, about
25 66 per cent fall within the reference range?

1 A. Yes, I took that figure off the top of my head.
2 Remember that's patients, not on medication. More than
3 half the patients I see are already taking thyroxine
4 from the family practitioner or consultant colleague.
5 Q. Let me just ask you about the 30 [sic] per cent outside
6 the limit. Are you talking about those who are on
7 thyroxine and are therefore outside the limit on
8 thyroxine, or are you saying that they were not being
9 medicated and still outside the limit? Can you help us?
10 A. I thought your question was driven at new patients who
11 were not on anything. I was trying to clarify that
12 issue.
13 Q. So it was patients who were not on anything at all?
14 A. I think that's what you're addressing your forthcoming
15 remarks towards.
16 Q. I'm asking you about the answers you gave to Mr Payne.
17 I am just trying to clarify them.
18 When you spoke about the majority, 66 per cent fall
19 within the reference range, and 33 per cent outside it,
20 are those patients being treated or not being treated?
21 A. That totality I think are not being -- we're talking
22 about patients not being treated who come to me and not
23 taking thyroid replacement, just to be clear what we're
24 talking about.
25 Right, now in answer to your question -- I've

1 forgotten your question.

2 Q. I think that is the answer to my question.

3 A. Is it?

4 Q. You're saying that those patients were not being treated
5 when they came to see you, yes?

6 A. A proportion, yes.

7 Q. Right. Of the patients, the 33 per cent who are outside
8 the reference range when they come to you, can you help
9 us why they come to you as opposed to their GPs?

10 A. Do you mean why did the family practitioner refer them?

11 Q. Well, either really --

12 A. I don't see patients directly.

13 Q. -- whether it's on a referral --

14 A. Well, I don't see patients without referrals. That's
15 manifestly clear to the Panel by now.

16 Q. Why couldn't their GPs prescribe them thyroxine if
17 they're outside the reference range?

18 A. The GP could prescribe anything that the family
19 practitioner feels is indicated. You must remember here
20 there are two hormones, one may be, one may not be. The
21 family practitioner may not -- maybe "timid" is not the
22 word I'm looking for. Maybe "frightened", to call
23 a spade a spade, to prescribe for a patient if one of
24 the thyroid hormones are outside the range and the other
25 one isn't. And essentially I think most of the

1 referrals in this circumstance would be if the patient's
2 still not feeling well and the family practitioner
3 wishes an opinion from me.

4 Q. Of that 100 per cent total, 66 per cent within the
5 reference range and 33 per cent outside it, does your
6 figure stand that 95 per cent of patients who walk
7 through the door "I will treat with thyroxine"?

8 A. That would be 95 per cent of those who come on no
9 treatment whatsoever. I won't personally treat them.
10 About a third of these I will put on thyroxine and about
11 two-thirds I will write back -- well, I always write
12 back, but I will flag the thing up and give my opinion
13 of whether the patient should be treated with thyroid
14 replacement or not.

15 Q. But 95 per cent you would either treat yourself or
16 recommend treatment, is that fair?

17 A. I would say that would be about the figure, yes.

18 Q. Finally, this: you were asked by Mrs Whitehill about why
19 you were reluctant to undergo the performance
20 assessment, and the answer you gave was that you didn't
21 know who the complainants were.

22 A. That was part of my answer, Mr Kark.

23 Q. Tell us what the rest of the reason was again. Forgive
24 me if I missed it.

25 A. I thought -- and I would stand by this view, that if I'm

1 being asked to have a performance re-evaluation,
2 whatever it was called, and I'm not told who is the
3 complainant in some of the case or who is the patient
4 even, and indeed what is the nature of the complaint in
5 some precision, I think very few practitioners would
6 accept that this was a reasonable way forward.

7 Q. I'm sorry, but you knew about Liz Jordan's complaint and
8 you knew about Dr Blair's complaint, and you agreed to
9 a performance assessment.

10 A. I certainly agreed initially.

11 Q. What happened was that you went through the process of
12 filling in your portfolio for the assessment to take
13 place, but then you decided you didn't want to go
14 through the process. What caused you then to decide you
15 didn't want to go through the process having filled in
16 a portfolio?

17 A. I felt the lack of communication from the General
18 Medical Council was not such that I was -- it was
19 a reasonable thing, and my understanding is that cases
20 were cancelled, which were making up the necessity for
21 re-evaluation.

22 Q. Is the reality that you realised you faced a choice
23 between being tested as a GP, which you were not,
24 of course, and being treated as an endocrinologist, or
25 being tested as a endocrinologist, which you were not,

1 of course? What did you think you were going to be
2 tested against, what standard?

3 A. That's part of the problem. I did try to find out what
4 was the nature of the examination, who was marking it
5 and what were the marking standards.

6 I think it was a little -- I think it's a little
7 cavalier to a practitioner. I know I'm in the hallowed
8 halls of the General Medical Council, but I do feel
9 that.

10 And the answer to your question is: no, I wasn't
11 concerned I was purporting to be a practitioner, an
12 endocrinologist, and this would discriminate between the
13 two.

14 Q. So by which standard would you have wanted to be tested?
15 Against what standard would you wish to be tested?

16 A. I made that very clear, I actually wrote, it didn't
17 evoke any response. The way to test this is not to have
18 somebody who may have polarised view, I don't know,
19 construct an examination.

20 What I suggested, and I would stand by this, we need
21 to do a comparative audit of the results of management
22 and diagnosis of hypothyroidism at randomly selected
23 clinics, including my own, in the United Kingdom. That
24 will give you a clear answer of competence of the
25 practitioner.

1 Q. But you wanted your competence to be tested by
2 effectively putting a number of your patients before the
3 Performance Assessment Panel, is that right?

4 A. No. I wanted a group of competent people, fair-minded
5 and reasonable people, to examine the results of my
6 clinic and compare it to perhaps a colleague. Mr Lynn
7 would agree that would be the only really fair way to
8 examine if there was a shortfall in care and the
9 wellbeing of patients having been in my care.

10 Q. I'll finish this topic, but I just want to ask
11 you: against whose standards were you asked to be
12 compared?

13 A. Was I asked to be compared?

14 Q. No, were you asking to be compared, would it be -- you
15 see, the people you normally treat these thyroid
16 problems are those who purport to specialise in this
17 area, which you agree, are endocrinologists?

18 A. Yes, I agree with that.

19 Q. Then there are GPs who occasionally treat these
20 problems, yes?

21 A. I think quite frequently.

22 Q. I just want to know where you place yourself. You're
23 not a GP, are you?

24 A. No, I think that's been established. I place it exactly
25 where you're saying. The answer is: let's compare the

1 results in these different settings; it seems very fair
2 and I can't say anyone would really oppose such sensible
3 suggestion.

4 MR KARK: Thank you, Dr Skinner.

5 Re-examination by MR JENKINS

6 MR JENKINS: Can I ask questions about that last matter,
7 Dr Skinner. I'm going to ask you to turn to bundle 2,
8 please, tab 4, page 141, if you would, please. It's
9 very near the back, three pages in from the back.

10 THE CHAIRMAN: I think, Mr Jenkins, we decided that we don't
11 have 141.

12 MR JENKINS: I'm sorry. I think it might be helpful if it's
13 included. Perhaps we can get it copied and look at it
14 in a minute.

15 If I give that to somebody else it may be that
16 someone could copy it.

17 MR KARK: I'm getting it copied.

18 MR JENKINS: Can I turn to another document which perhaps we
19 should have seen earlier and the fault is probably mine.
20 I want to give you a patient information leaflet for
21 treatment and ask about it. I'm going to ask that it be
22 circulated.

23 In that same tab the Panel have seen a number of
24 guidelines and protocols that attach to your practice.

25 THE CHAIRMAN: This will be D11.

1 MR JENKINS: Thank you very much.

2 We looked yesterday in the same tab, tab 4 of
3 bundle 2, at page 9, which is headed "A patient's
4 guide".

5 I'm going to go through the patient information
6 leaflet in a moment, and we'll look at it in detail.
7 But we saw page 92 yesterday, A Patient's Guide, which
8 is expressed as available to any patient or party
9 attending the clinic. It sets out the aim and objective
10 of the clinic, and then talks about the policies, that
11 patients should be treated in a polite, friendly and
12 welcoming way. You go on to introduce the staff. You
13 talk about the majority of patients are hypothyroid and
14 will receive thyroid replacement. It goes on to say
15 a little bit about that treatment.

16 Over the page, page 93, you say how patients are
17 referred, and you give information about the workings of
18 the service, fees, and matters of that nature, if
19 patients want to cancel a consultation.

20 You then talk about confidentiality in the
21 consulting room and if there's a need for examination.
22 There's a couch and the possibility of a chaperone. You
23 then talk about charges, and then go on to the need for
24 feedback and a complaint, and how that process should be
25 dealt with.

1 You don't really give any information about
2 treatment, and I want to ask about this leaflet, D11.
3 If I take you back to page 77 in tab 4, we see
4 a document, which is part of the protocols, and I'm
5 looking at the bottom of that protocol, version
6 number 1, review date 7th June 2004, author or
7 Dr Gordon RB Skinner.

8 It's exactly the same way this document, D11, is
9 finished. Yes? Version number 1, same date, 7th June,
10 and you're the author.

11 A. Yes, thank you, sir.

12 Q. If you turn to page 103, we have the same. Version
13 number 1, review date 7th June 2004.

14 Author: Dr Gordon RB Skinner. This isn't included, D11,
15 in the bundle of material that you sent to the GMC as
16 part of your protocols and --

17 A. Was it not? That's an omission.

18 Q. There we are.

19 A. I don't know why.

20 Q. What happens to this document, D11, the one you have
21 just been handed, Patient Information Leaflet for
22 Treatment?

23 A. It's one of the documents that are out for the patients
24 to read when they come.

25 Q. I understand. Can we go through it, please.

1 I recognise that there may well be questions arising
2 from this document:

3 "If Dr Skinner considers that you're hypothyroid
4 according to evidence and guidelines for this diagnosis
5 in various publications, he will normally suggest that
6 you have thyroid replacement using Sodium Thyroxine.
7 There are other preparations for certain indications or
8 for introduction later in your treatment schedule which
9 Dr Skinner will discuss with you in detail at your
10 initial consultation or follow-up appointment.
11 Dr Skinner will generally try to return your care to
12 your family practitioner, who referred you to
13 Dr Skinner, but it is perfectly acceptable if you wish
14 to continue for follow-up to attend the clinic and
15 Dr Skinner will work in close co-operation with your
16 family practitioner.

17 "Treatment is usually begun at a lowish level ..."

18 The Panel will read the rest of the sentence:

19 "It has to be emphasised that there will be some
20 considerable variation in your treatment plan depending
21 on feedback from you by letter, fax, or telephone, or by
22 a follow-up consultation which will usually be in two
23 months from your original visit. It may also be
24 necessary to modify your dose level or introduce other
25 preparations ..."

1 You go on to name them. One is Tertroxin:

2 "In some patients the natural thyroid preparation
3 Armour Thyroid."

4 You say:

5 "It's unusual to experience adverse effects from
6 thyroid replacement but if your dose becomes too high
7 you may experience feelings of being somewhat 'driven'
8 with difficulty in settling down to a given task and in
9 some patients there is increased heart rate, bowel upset
10 with diarrhoea, slight tremor of the hands, headaches,
11 and in some patients palpitations. It should be
12 remembered that many of these features are also features
13 of an underactive thyroid before treatment and it is
14 important that you contact Dr Skinner by letter or
15 telephone or fax to seek advice if you are at all
16 concerned.

17 "It is also important to emphasise that while some
18 of these adverse features can be uncomfortable they will
19 not have any permanent or long-term effects and will be
20 quite easily reversible by a reduction of your dosage
21 following consultation by any of these methods with
22 Dr Skinner."

23 You go on to talk about a family practitioner, if he
24 agrees with the diagnoses and management strategy, will
25 prescribe thyroxine and Tertroxin through the National

1 Health Service, and you talk about Armour Thyroid and
2 how that may be prescribed.

3 Do patients see that information when they come to
4 see you?

5 A. Yes. Oh yes.

6 Q. Can I ask about one other matter. You were asked about
7 repeat blood tests and it was suggested that you take
8 the view that they are of no use, and you don't do them.
9 Can I ask you to turn to the other bundle, please, if it
10 still works, tab 8, please, Patient D.

11 A. Page?

12 Q. 31.

13 The Panel will recall that Patient D was a lady you
14 first saw in August 2004. Your note for August 2005 on
15 page 31 ends with a prescription, an indication "see in
16 three months time and do blood test".

17 What did you mean by "do blood test", writing it at
18 that point in your note?

19 A. That's what Mr Lynn called an aide memoire to remind me
20 when the patient came back again.

21 Q. You were telling yourself that you should do a blood
22 test when the patient came back?

23 A. Yes.

24 Q. That was something that happened with patients, that you
25 would take blood tests during treatment?

1 A. Yes, I do it quite frequently.

2 Q. Thank you. That is all I wanted to ask about, but I do
3 want to ask about the letter at page 141. I'm afraid
4 I have to wait for that to come back.

5 Perhaps I can take you a little earlier in tab 4.
6 It's back to the other file I'm afraid. Page 2. This
7 was the letter sent to you by the GMC after you had
8 agreed to undergo an assessment, and they said to you:
9 "I confirm we are now making the arrangements for
10 your performance assessment. We are aware that your
11 speciality is general practice."

12 Do you regard yourself as a general practitioner?

13 A. Oh no.

14 Q. No.

15 A. I don't know why they said that.

16 Q. Well, if you had been assessed as an endocrinologist,
17 who would have been undertaking the assessment so far as
18 you were aware?

19 A. I don't know. That's information I was unable to glean.
20 I was given no further information.

21 Q. You say you wrote. Wrote to who?

22 A. I can't remember who I wrote to. I think I phoned.
23 I don't remember who I phoned because there's a myriad
24 of people.

25 Q. I've got to pause, I'm afraid, for a moment. I'm

1 waiting for the letter to come through. (Pause).

2 THE CHAIRMAN: This will be D12.

3 MR JENKINS: Thank you.

4 MR KARK: Well, I would have thought it could just go at the
5 back of the bundle.

6 THE CHAIRMAN: Yes.

7 MR JENKINS: We know that Mr Prentice, Malcolm Prentice,
8 from the Mayday Hospital, who was a witness before the
9 Panel, was responding when asked by Dr Ince about
10 Patient C. Dr Prentice found himself writing a letter
11 to say that you'd started a patient on 150 micrograms of
12 thyroxine and also that you had not communicated with
13 the GP. We know that that was wrong.

14 A. Yes, that was wrong.

15 Q. So that's part of the background, I think, before we
16 come to this letter at page 141. This is you writing to
17 the president of the General Medical Council asking for
18 a few minutes of his time to consider this note, which
19 expresses grave concern.

20 You say:

21 "I have been in practice for some forty years and am
22 not ashamed to proclaim that I have never had a
23 litigious action and have only had one complaint from
24 a patient."

25 Is that Patient A?

1 A. Yes.

2 Q. You say:

3 "I have been 'invited' to undergo a performance
4 assessment by the General Medical Council to which
5 I have reluctantly agreed. There is however
6 an important point of principle contained herein. In
7 essence I have been obliged to take a decision on
8 whether or not to agree to a performance assessment but
9 have not been permitted to know the precise allegations
10 nor the name of any patients involved in these
11 allegations nor in most cases the allegations. Thus one
12 is not allowed the opportunity to make any reasonably
13 informed comments to the screener ..."

14 You then go on to say:

15 "... the following assertions have been made wherein
16 it beggars briefly that the GMC would not redispach
17 such nonsense to its progenitors."

18 You mention Dr Toft.

19 The Panel have seen earlier in bundle 4 a reference
20 to Dr Toft, and I think he wrote to the GMC saying that
21 you had rendered a patient clinically hyperthyroid, and
22 you say what you do: the patient felt well, no evidence
23 of that contention.

24 There were other matters raised with the GMC by the
25 British Thyroid Association, and you had been given no

1 details of those patients.

2 A. It was an anonymised little bundle.

3 Q. The Panel haven't heard anything about those
4 allegations.

5 A. Not to my knowledge, I don't think. I don't know the
6 process really, what the Panel receive.

7 Q. There's then mention of Dr Jordan. Well, the Panel have
8 heard about Dr Jordan, they have seen the letter with
9 regard to Patient B.

10 There's then your point number 4, a suggestion
11 that -- talk of bovine thyroid extract, which you say
12 you didn't know existed, and you're saying that this is
13 something which is simply not true, point number 4.

14 A. I had never seen or talked to this patient in my life.

15 Q. You say it was suggested that you were a member of
16 Thyroid UK, of which you are not a member. Lastly,
17 you're saying it was suggested to the GMC that you're
18 not registered to provide medical services. You go on
19 to say that those last three points are fatuous.

20 There's then another allegation dating from 1990,
21 which you dismiss.

22 A. That's beyond belief, basically.

23 Q. Yes. You say over the page, page 143:

24 "... it is on the basis of these assertions that
25 I have been invited to a performance assessment without

1 being permitted the courtesy of reviewing the evidence
2 on which this assessment is presently to be based."

3 Well, is that the true basis of your rejection of --
4 or your refusal to undergo a performance assessment?

5 A. Yes. I think this is an expansion and gives a flavour
6 of my concerns, to be invited to do something on
7 evidence that was wildly flawed and not available to me,
8 in fact, what the evidence was.

9 MR JENKINS: Thank you. Again, I recognise that the
10 documents I've handed in may give rise to further
11 questions.

12 MR KARK: There are fresh matters. I don't want to restart
13 all my cross-examination, just on two points. May I ask
14 further questions?

15 THE CHAIRMAN: Yes.

16 Further further cross-examination by MR KARK

17 MR KARK: The document that you put in, Patient Information
18 Leaflet for Treatment, you say that this was given to
19 your patients. Was it given to them pre June of 2004?
20 Was there a similar document prior to June of 2004 or
21 was it only from June of 2004?

22 A. It was prepared essentially on the advice of the
23 Commission of Healthcare. I can't, off the top of my
24 head, remember what was the first day it appeared in the
25 clinic. I simply can't remember that.

1 It would be about two or three years ago. I know
2 that's not answering your question, but I can find the
3 answer from the clinic.

4 Q. Are you saying there might not have been one before this
5 date?

6 A. Before which date?

7 Q. The document is dated 7th June 2004, and I just want to
8 know if there was a prior version of it.

9 A. I wouldn't have thought so. I think I prepared it then.

10 Q. Secondly, this: the first line to your patient is:
11 "If Dr Skinner considers that you are hypothyroid
12 according to evidence and guidelines for this diagnosis
13 in various publications ..."

14 Now, the reader of that might think that you're in
15 line with current standard medical thinking. You would
16 possibly agree that you're not.

17 A. No, I wouldn't try and reintroduce my survey, you didn't
18 allow that. I think Dr Hertoghe will demonstrate very
19 clearly that I am.

20 Q. I see.

21 A. Certainly in an international basis.

22 Q. So a patient who reads the words "according to evidence
23 and guidelines for this diagnosis in various
24 publications", you don't think is being misled in any
25 way?

1 A. I'm absolutely sure it's not misleading. I wouldn't do
2 that.

3 MR KARK: Thank you.

4

5 THE CHAIRMAN: Does this conclude the process for
6 Dr Skinner?

7 Further examination by MR JENKINS

8 MR JENKINS: Just one question arising out of that, if
9 I may.

10 What would patients be told about the information
11 contained in this leaflet before this leaflet existed?
12 Do you know what I mean?

13 A. I know what you mean.

14 Q. This document has a lot of information about it, about
15 side effects and the need to contact you if there are
16 any, and that sort of matter. Before this document was
17 in existence would patients receive any of that
18 information in a documentary form --

19 A. No.

20 Q. -- or in the other way?

21 A. That would be part -- and I think, as I said to
22 Dr Elliot yesterday, I slightly baulked at this. I felt
23 that that should be part and parcel of a medical
24 consultation, and that's how this information was
25 imparted. I prefer to do that face to face with the

1 patient rather than give out a series of documents.
2 I know that's the trend, and the Commission of
3 Healthcare are quite document oriented, if you like.
4 Q. So for any patient who saw you, let's say in May 2004,
5 would anything be said to them, or any document be given
6 to them, which encouraged them, if they had any adverse
7 effects, to contact you by letter, telephone or fax?
8 A. Absolutely so.
9 Q. How would they be told that?
10 A. At the consultation.
11 MR JENKINS: I'm grateful, thank you very much.
12 THE CHAIRMAN: Thank you, Dr Skinner. Thank you very much.
13 You can return to the side.
14 Mr Jenkins, I believe you now want to call your
15 expert witness.
16 MR JENKINS: I do. I think it may take a couple of minutes
17 to set up his computer, so I am going to invite you to
18 rise, as it were, for perhaps five minutes so that
19 we can do that.
20 THE CHAIRMAN: Fine. We will return at 10.55. Mr Gribble
21 has something he wants to comment on.
22 THE LEGAL ASSESSOR: I think Dr Skinner needs to return to
23 his place in fact.
24 In relation to a document that has just been
25 produced by Mr Jenkins, which is page 141 -- and it is

1 the letter to Sir Graeme -- on reading that letter,
2 Dr Elliot, quite rightly and properly, sent a note to
3 the Chairman saying, "I know Dr Toft. He was in my year
4 at uni". That is page 142, at the top of that page,
5 where it refers to an allegation made by a Dr Toft,
6 which I think has not been followed up in any way
7 insofar as these proceedings are concerned.

8 I took the view, of course, that we had better wait
9 until Dr Skinner had completed his evidence so that
10 Mr Jenkins could have an opportunity of speaking to him.
11 That's the practical reason why I waited until now,
12 until this matter was raised. I also took the
13 opportunity of finding out from Dr Elliot what knowledge
14 she had of Dr Toft. She last spoke to him four or five
15 years ago. I don't think, under those circumstances,
16 there's any suggestion that there has been any
17 conversation or any knowledge of this particular case.

18 It's a matter which I felt had to be put before you
19 for information, really.

20 MR JENKINS: I'm grateful, there's no problem at all. I'm
21 very grateful to Dr Elliot for raising it.

22 I think if you look at tab 3, page 31, Dr Toft's
23 name is already on the document. You won't have perhaps
24 seen it already, but his name is already in the papers.
25 I don't have any difficulty at all.

1 MR KARK: I agree, and can I just mention that the reason is
2 perhaps obvious as to why those pages were not included
3 in the bundle, because they contained information that
4 could conceivably have been thought to have been
5 prejudicial to the doctor.

6 THE CHAIRMAN: Thank you. So 10.55, please.

7 (10.32 am)

8 (A short break)

9 (10.55 am)

10 THE CHAIRMAN: Dr Hertoghe, are you ready to begin?

11 A. I am ready.

12 DR THIERRY HERTOGHE (Affirmed)

13 Examination-in-chief by MR JENKINS

14 MR JENKINS: Have a seat, Dr Hertoghe, because I'm going to
15 ask that your CV is handed round. Whilst that is
16 happening, I wonder if you could tell us your full name.

17 A. My full name is Thierry Hertoghe.

18 Q. You are Belgian?

19 A. Yes, I'm Belgian.

20 Q. I think you qualified, as the CV tells us, in 1986 at
21 the University of Louvain in Belgium, and you then got
22 a specialisation degree in general medicine in Brussels
23 in 1989.

24 A. That is correct.

25 Q. You talk about a certificate in Anti-Ageing Medical

1 Therapeutics at the University Centre of Charleroi,
2 is that in France or is that Belgium?

3 A. No, that's in Belgium.

4 Q. Sorry. A diploma again, in the same topic, anti-ageing
5 medicine at the European Institute of Scientific
6 Anti-Ageing Medicine in Paris.

7 You mention various responsibilities that you have.
8 You are presently -- I'm looking at 2004 -- a co-founder
9 of the International Hormone Society, and you indicate
10 where that is based, and president of the World Society
11 of Anti-ageing Medicine, which is based in Rome.

12 You say that you're a member of the directory of the
13 Board of the European Organisation of Scientific
14 Anti-Ageing Medicine, and founder and member of the
15 editing staff of the Journal of European Anti-Ageing
16 Medicine, which I think is based in London.

17 A. Yes.

18 Q. You are presently president of the International Hormone
19 Society I know you'll tell us a bit more about that as
20 the morning wears on, but tell us now what is the
21 International Hormone Society?

22 A. The International Hormone Society is probably the third
23 in the world in membership, in physician membership,
24 endocrine society in the world, it's extremely fast
25 growing, so I think it will be probably in two years'

1 time we're the most important one, and contrary to most
2 of endocrine societies, we're open to all specialities
3 because we are of the opinion that hormone therapies are
4 applicable in almost all the medical specialities where
5 it could be helpful, and so every physician should have
6 more training in hormone therapies.

7 Q. How many members do you have at the moment?

8 A. At the moment we over a thousand members which are real
9 numbers because the numbers are a little changed by
10 societies, but these are real numbers.

11 Q. Are those all directors or can lay people join?

12 A. They are all doctors. We do have two subsections in the
13 society for patient organisation. One is the
14 International Thyroid Patient Organisation, and the
15 other one is the International Hormone Society
16 Organisation, but they are not of the same importance
17 for the moment in numbers of members as the
18 International Hormone Society for physicians.

19 Q. I think, as you indicate on the first page of your CV,
20 you come from a family of doctors and doctors interested
21 in hormone problems.

22 A. Yes, and I think it will be very interesting for the
23 audience to know that my great grandfather actually
24 learned from Murray, who is an Englishman here, how to
25 treat hormone therapies in the very beginning, in 1892,

1 and each generation we continued doing hormone therapy
2 and mainly thyroid therapy.

3 Q. You then set out your previous medical posts. I don't
4 know that I need go through them.

5 You've given lectures, as we see over the page, over
6 many years in various parts of the world. Again,
7 perhaps I needn't take you through, but a lot of
8 lectures in America and many in Europe, but also other
9 parts of the world as well.

10 A. Yes. In the seminars you see, I didn't put all the
11 lectures, but I do lecture extensively, and also on the
12 thyroid therapy. I think every year I train around
13 600 physicians, at least for two days of training. This
14 year will be much more. Something like
15 1,000 physicians.

16 Q. On page 5 you have listed seminars that you've given,
17 I think.

18 A. Yes.

19 Q. Again, for medically qualified individuals.

20 A. Yes, either I give them totally myself for two or three
21 days, either I do them as a combination with other
22 physicians.

23 Q. You then go on on page 6 to list articles that you have
24 been involved in writing. I think you've written papers
25 on thyroid function.

1 A. Yes, and I think specifically on what is here, the
2 centre of the debate, comparing T3 and T4 medications to
3 T4, and the problems of diagnosis of the TSH test, and
4 also all the conditions where there's a reduced
5 conversion of the prohormone T4 to the active T3
6 hormone.

7 Q. I think you've conducted research as well.

8 A. Well, most of my research is -- I did some smaller
9 research, but most of my research is to review the
10 literature, and usually when I review a topic, it is the
11 last 30 years of the studies I can find on Medline.

12 Q. Right. On page 7, there are more reports that you list,
13 that you have written.

14 On page 8 I think you list some books that you have
15 written as well, a couple of which have been translated
16 into a number of languages.

17 A. Yes.

18 Q. I think you are published in Chinese as well.

19 A. In Chinese also as well, yes.

20 Q. You have written The Hormone Handbook, and you're in the
21 process, I think, of writing other books on hormone
22 therapy.

23 A. Yes. Well, actually they're already written, I just
24 have to put the references in.

25 Q. All right. I think you were asked to look at the papers

1 in the case, to look at the reports of Professor Weetman
2 and Mr Lynn. You've seen the medical records of the
3 various patients A to D that this Panel have, and
4 I think you have written a report, which I am going to
5 ask to be distributed now, please, and marked D13.

6 A. In this report I've always focused to give the evidence,
7 so not to say a thing without proofs of the evidence.

8 Q. I understand. Well, we'll come back to your report.
9 I was going to distribute it now just so that the Panel
10 have it. We will go through it, but I think before we
11 do that, you've -- D13, please.

12 Before we do that, I think you've prepared a slide
13 show for us, as it were, just to take us through --

14 A. I think it's much more understandable with a slide show.
15 I think it's worth to review of the words, but I think
16 it will be much easier to understand.

17 Q. Madam, we have reduced the slide show to quite a large
18 number of pages, which I think will be helpful. Some of
19 the images are not going to be clearly visible on the
20 screen, so we put that into paper form in an attempt to
21 assist you. I wonder if we can distribute that as well.
22 This is D14, please.

23 This falls into two parts, and I'm just giving you
24 the first part now.

25 A. I have added some other slides because I thought it was

1 important, so I will pack them together, and you'll get
2 a copy of them later.

3 Q. What I have not asked you, Dr Hertoghe, is your
4 treatment of patients. Tell us about you treating
5 patients.

6 A. Well, I work with a team of six doctors under my
7 supervision, and we get patients from all over the
8 world, and I see mostly the patients coming from other
9 continents because there's a little too much. I have
10 reduced my activity recently, since January, in order to
11 face all the activities of organising international
12 congresses and writing books and reviewing the
13 literature.

14 But before January I worked three days a week in
15 practice. The other days were for a seminar
16 preparation, and we see quite extensively the patient
17 takes about an hour, see the patients for an hour.

18 We do look up much more endocrine deficiencies than
19 Dr Skinner. We look up also for lack male hormones,
20 lack of female hormones and other hormones, and also
21 much about the nutrients, and we do extensive lab
22 testing and clinical examination. So we take about 15
23 to 20 minutes of clinical examination. It's very
24 important, as I will show you, and we do also more
25 extensive lab tests because that's helpful.

1 Q. For how many years have you been treating patients who
2 may have thyroid or --

3 A. It's a little more than 20 years, and I did a lot of
4 training with my father, who was a quite successful
5 endocrinologist.

6 Q. To what extent is the treatment of thyroid patients part
7 of your practice?

8 A. I would say it's the major hormone actually we look up.
9 So it's the majority of the patients are screened for
10 thyroid function and treated when they have
11 a deficiency.

12 Q. You told us from January you see patients two days
13 a week.

14 A. No, it's one day. It was two days a week, now it's
15 going to be one day a week active from July on.

16 Q. Is that because of your other commitments?

17 A. Yes, yes. I organise a lot of quite important
18 international congresses, and also I supervise two --
19 well, there's going to be a second one, two educational
20 programmes that are very evidence-based for physicians,
21 two years to three years of diploma or masters of
22 anti-ageing medicine, which is recognised for the moment
23 as by far the best education for physicians in the
24 world.

25 We have had more than 75 different nationalities

1 trained there and more than 700 physicians in the last
2 years. So it's quite important. We're also starting,
3 and I will talk about that, 400 hour training in
4 endocrinology and hormone therapies because there's some
5 major problems in the actual educational programme.

6 Q. Right. Take us through the slides as we need to see
7 them. I think the first few slides cover information
8 that we've already dealt with. The international --

9 A. Yes, I will go quite quickly on this.

10 This is the logo of the International Hormone
11 Society, and it's going to start a new course. And
12 I have other commitments, I'm also a member Of the
13 Endocrine Society. In order to be a member of this big,
14 important society, you have to have written articles and
15 you have to be accepted.

16 I also will supervise the new information. These
17 are general public books also in Russian, German and
18 other languages.

19 This is the book that has had the best success among
20 physicians. It has 300 pages of quite solid references;
21 it took me quite a time. It talks of physician, how to
22 do the therapy, and when there are problems, how to
23 solve the problems.

24 There's a lot of different hormones. These are
25 reports for societies. For instance, melatonin

1 treatment. We had obtained a cancellation of the law.
2 Thanks to this text, we had a law forbidding melatonin
3 as a hormone. We showed it's beneficial and it's
4 beneficial effects, and I'm working on this textbook.

5 This is my great grandfather, and you will see it
6 has an importance in the text.

7 Q. Can I ask you to slow down a little bit because we are
8 flicking through pages at the same time. That is your
9 great grandfather.

10 A. That's my great grandfather. He doesn't look like me,
11 probably a skinhead in that time.

12 He was very famous in the world. He went teaching
13 in the United States at that moment, so you can find in
14 very old textbooks pictures of his patients before and
15 after therapy, and I will show some of those pictures.

16 He was also its vice president of the Royal Academy
17 of Medicine of Belgium. We have a lot of books of him.

18 Q. You list under your photograph both your great
19 grandfather and other members of the family.

20 A. Yes, my grandfather Luke and my father Jack were
21 endocrinologists.

22 Q. Do you have siblings, brothers or sisters working --

23 A. I have a sister who is an internist endocrinologist.

24 Q. Do go on.

25 A. So here, this is a quite an important society. The

1 World Society of Anti-ageing Medicine. It's also
2 extremely fast growing. We also get here 100 to 200 new
3 members every month, so it's very, very quick.

4 I have taken away the congresses that I supervised.
5 There are four important ones, which are in Asia and
6 Europe.

7 Q. I should say that --

8 A. The World Congress of Anti-ageing Medicine, for
9 instance, more than 3,000 physicians are participating.
10 The Bangkok congress is about 1,000, and the European
11 congress is about 2,000 physicians, and it's very
12 evidence-based. We do not allow non-evidence-based
13 medicine.

14 Q. Can you just explain to us, and the medical member will
15 certainly know, but just remind us what evidence-based
16 medicine means.

17 A. Evidence-based medicine means that when you come forward
18 with an argument you have scientific studies to support
19 and you can bring the evidence. This evidence forward.
20 It's in a way that it's balanced. You don't select your
21 scientific evidence to support your personal opinion,
22 but you try to find the truth and to give the truth.

23 So I was also wanting to review a little bit, very
24 shortly, why we are here, and I have an extensive,
25 I would say, experience as an expert. I was also

1 a medical board member during seven years in Belgium.
2 I had even the highest vote, because in Belgium it's
3 a voting of Brussels for that post.

4 It's to protect the patient, I think, ensure that
5 the patient has welfare, and it's -- I don't think -- in
6 Belgium at least it's like this, you're not here to
7 decide between two schools of medicine, you just have to
8 see that the therapy of Dr Skinner is not a wrong
9 therapy. You don't have to say it's a good therapy, or
10 the best, but that it's not an incorrect therapy that
11 can put in danger the welfare of the patient.

12 Then: what's it all about? It's all about the
13 thyroid gland, that you know already, and that the
14 thyroid gland that is situated here in the neck
15 (indicating) produces hormones thyroid hormones.

16 It produces, as you know, T4, thyroxine alone,
17 mostly T4. And accessory T3, I would say the most
18 important hormone, is the active hormone in the cells.

19 What is important to know is that the T3 is much
20 more distributed, we have much more T3 everywhere in
21 our body than T4. T4 is located to blood and eventually
22 intracellular fluid, but in the cells, where it has to
23 be active, it's mainly T3 that is there.

24 Why is it important? Well, the thyroid has major
25 actions, and you are going to see some pictures of

1 patients that will make you understand how important
2 it is, what you're doing now.

3 Q. Can we go through that gradually. You talk about mental
4 and physical quickness, mood and energy.

5 A. Yes. So we'll see this with a picture, but if you don't
6 have any thyroid hormones, you become a human plant,
7 you have no emotions and no thought. You're in a coma
8 and you will die in the coma after several weeks.

9 So it's extremely important to have enough, and if
10 you have a lack, it will impair your body quite a lot.
11 This is why it's so important that you make the right
12 judgment.

13 Q. Can we just stay there. You have on the right-hand side
14 "elimination of waste products".

15 A. Yes, so many of the people who have a low thyroid are
16 swollen up. They are swollen up but it's not fat that
17 they have. It could be a little fat but mostly it's
18 sort of waste products that are situated between the
19 cells. So we don't have no -- everything is piling up
20 with waste products called mucopolysaccherides.

21 Q. You have mentioned myxoedema.

22 A. Myxoedema, that's a sort of oedema.

23 Q. Swelling oedema.

24 A. A swelling oedema. It will be all over, and on some
25 places more than others. For instance, the (inaudible

1 word) will be much thicker, and the face will be much
2 more swollen, but even the muscles will be swollen.
3 So a person like that could look like he or she has
4 muscles, but it's mainly myxoedema.

5 Q. And are there other --

6 A. So thyroid hormones stimulate the process to take that
7 away. Also the heat production, if you don't have
8 enough thyroid you become very, very cold because it
9 heats up your body, you get more temperature. So people
10 have to put a lot of clothes on, because they have it
11 always too cold, are suspected of having low thyroid
12 function.

13 Q. Before we move on, tell us about the immune system as
14 well because you've suggested --

15 A. It's to my experience probably the most immune
16 stimulating hormone. So when children have a lot of
17 infections you have to check the thyroid function,
18 because one of the reasons I myself was put on thyroid
19 hormones, it's a long time ago, is I had so much
20 infections. It was actually my grandfather made the
21 diagnosis, and when I was on thyroid hormones it got all
22 a way. I didn't have any problems any more.

23 Another important thing is the blood supply. The
24 blood supply goes much quicker. When you don't have
25 enough thyroid hormone, the blood supply to the brain is

1 slower and there's less blood. So you will think
2 slower, it will give more difficulties. And you will
3 have to move all the time in order to stimulate your
4 bloodstream to feel better. But as soon as you sit down
5 or you lie down at a certain time, you'll feel tired or
6 not good.

7 Q. Could I just raise the question of constipation as well.

8 A. That's a fundamental sign of, I would say, low thyroid
9 function. Probably of more severe form.

10 Q. Should we regard that as relevant for the elimination of
11 waste products, is that part --

12 A. No. That is different. When there's a low thyroid
13 function, the muscles of the intestines are surrounded
14 by muscles, that muscle structure is much slower, it not
15 very active, hypotonic, not tonic enough, and you get
16 constipated because it doesn't go quick enough at --

17 Q. I understand.

18 A. So thyroid hormones quicken most of the metabolic
19 reactions. Everything goes quicker, and when it's too
20 much, you heat up too much, and you get excess thyroid
21 hormones, you are too warm, the temperature's too high.

22 Q. Let's go to the next slide.

23 A. Thyroid hormones are essential will life, but not only
24 life. Like I said, if you have no thyroid activity you
25 are a human plant. Before some of those people died as

1 human plants.

2 But when you have a lack, you do have a decrease in
3 quality of life and of your health, and probably your
4 lifespan will also be impaired. So a poor thyroid
5 activity is a measure of life. That is why you have so
6 many thyroid patient websites that's usually done by
7 patients who recovered of their ill health or their
8 miserable life by getting thyroid hormones, that is why
9 there's so much passion around the topic.

10 Q. Yes.

11 A. What I will try to remember you several times at the
12 lecture is that a healthy thyroid is in the cells that
13 it has to be healthy, not necessarily in the blood. If
14 you have already good thyroid hormones in the blood,
15 you're likely to have a better thyroid function in the
16 cells but you have some circumstances where this may not
17 be the case.

18 It has to be enough thyroid hormones in the bones,
19 the heart, the muscles, the brain, digestive system,
20 everywhere; this is fundamental.

21 In order to have that you have to have specifically
22 not sufficient T4, but sufficient T3 in the target
23 cells, and not only enough of that T3 hormone, but it
24 has to be active in the cells and you have to have
25 enough receptors. Receptors are molecules, compounds,

1 that when the thyroid hormone goes on it, the action
2 will start, the action of thyroid hormone.

3 You need also to have -- even if you have enough
4 receptors -- good food and good nutrients. You need
5 some vitamins to be able to have full effects of the
6 thyroid function. So it's not only enough to give
7 thyroid hormones to have an optimal function.

8 When you have a poor and low thyroid activity it's
9 actually inside of the target cells that there's not
10 enough thyroid hormones. That is called cellular
11 hypothyroidism.

12 Hypothyroidism means low thyroid function.
13 Cellular, that inside of the cells where it has to be
14 active. So it's very important that the physician, when
15 he treats a patient, there's enough thyroid activity in
16 the cells.

17 So what is maybe also an important question, it's
18 always good to have a picture: how does a person look
19 like when he has a low thyroid function? This is
20 a hypothyroid patient.

21 Q. Is this taken from one of the books of your grandfather?

22 A. My great grandfather. In that time they didn't have lab
23 tests, they did clinical follow-up, they looked at
24 patients.

25 You have a lot of symptoms, and I'm not going to

1 list all, but I'll going to list some that you just have
2 an impression, and you can see: you see those swollen
3 eyelids? Those are more swollen in the morning with low
4 thyroid function and that will go away with an adequate
5 treatment. So a person who has swollen eyelids in the
6 morning is suspected of having low thyroid function.

7 The hair is dry, it does not blink [sic] so much,
8 and you see the person looks swollen and even fat, even
9 the hands look swollen, and she looks a little sad.

10 This is a typical pre myxoedematous or myxoedematous
11 face, that means the face is swollen up with waste
12 products between the cells. You'll see that also the
13 lips are swollen. We will see the difference when that
14 person is treated later on. So these are pictures more
15 than a hundred years ago.

16 When a person has a low thyroid function the blood
17 supply is not enough and the hands are cold. The feet
18 are also much more cold, because there's between 5 and
19 20 per cent less blood flow. There's led blood, so it
20 doesn't go all over, and the blood supply is slower, the
21 blood circulation goes slower. The heart rate is
22 slower, so it will pump the blood on a slower rate.

23 Q. What are re-seeing there, clearly some feet but what
24 should we be noting?

25 A. Those are feet, they are actually cold and a little

1 reddish. It is called achrocyanosis, not enough oxygen
2 going to the feet and they're cold.

3 You see here also when a person has a high risk of
4 having also other family members having it, you see this
5 person is swollen up with the typical lower swollen
6 eyelids, and look what happens with treatment. Very
7 bizarre, actually this should be 14 months later, you
8 see that the person looks younger than this. Why is
9 this so?

10 Because thyroid hormones at the beginning will take
11 out the myxoedema so you get thinner, but once after
12 a certain while it will also stimulate other endocrine
13 glands. The male hormones of this man have -- the
14 testicles have worked better, and so he has better
15 muscles and he looks younger, I would say a year later,
16 than he looked in the beginning.

17 This person is his sister. You see also the sort of
18 swelling of the face, typical of low thyroid function.

19 In order to understand the complaints a patient has,
20 you have to look at how the blood is supplied in the
21 brain. If there's not enough thyroid hormones, you will
22 see that there's less blood flow in the brain, and it
23 will not go to all the cells as easily as it went in
24 a normal thyroid situation. So you will have a lot of
25 mental complaints and emotional complaints when there's

1 a low thyroid function.

2 Q. Could you tell us, are we looking at a --

3 A. This is a skull of a person.

4 Q. Is that a scanning of a patient?

5 A. This is actually a sort of visualisation of the blood
6 flow in the brain, of the arteries. These are all
7 arteries of the brain. There will be less blood going
8 in those arteries and at a slower speed because of the
9 lack of thyroid hormones.

10 So what sort of complaints does a patient have when
11 he has low thyroid? I will not go all over those, but
12 I'll mention that there's a lot.

13 There will be two types of person. The patient who
14 is too slow, who is depressed, who is -- everything's
15 too heavy for that person, doesn't think very clearly
16 and thinks too slowly, and will have memory loss and
17 concentration problems.

18 Some people get hyper active because they have
19 understood that as long as they stay active or they take
20 a lot of coffee that kicks them, they feel better, so
21 they will be either coffee drinkers or people who keep
22 on moving in order to feel better.

23 Q. You've listed a series of signs there or attributes,
24 apathy, sleepiness.

25 A. Those are adapted from the thyroid textbook.

1 Q. The Panel will note that. You refer to headaches as
2 well.

3 A. Yes. Headaches is very common. I will show also
4 information on that, that there are a lot of references,
5 because it seems that one of the experts said that
6 headaches was not a symptoms of low thyroid function.
7 It's very frequent.

8 Q. Professor Weetman said he had never seen it in any
9 textbook, the suggestion that any headaches might be
10 a sign or symptom of hypothyroidism.

11 A. I will show several slides that show that many of his
12 interventions, when he talks about clinical symptoms,
13 are not adequate, they have don't have adequate
14 information. He doesn't seem to be well-informed.

15 Q. Can I break off at this point and deal with the question
16 of headaches and ask to you look at two other documents,
17 please. One is from the University of Maryland Medical
18 Centre in the United States.

19 THE CHAIRMAN: Is this then D15 and 16?

20 MR JENKINS: It should be, yes.

21 Can I say that the University of Maryland
22 document -- you will see it was printed off from the
23 Internet a couple of days ago, the date's at the bottom
24 right-hand corner. You will see as well -- I have only
25 given you page 3 of 4, I can produce page 4 of 4, but it

1 contains nothing of any relevance whatsoever.

2 If you go to the bottom of page 3 you will see the
3 sort of thing you often get on an Internet document:

4 "Please rate the quality of the article.

5 "Do you find this article to be
6 helpful/informative?"

7 It then invites comments from the reader.

8 There's slightly more of that on page 4. I can give
9 it to you if you want it, but it will not help.

10 THE CHAIRMAN: I'm sure that's fine. This is D15, and
11 the other D16.

12 MR JENKINS: Can I just ask you about it, Dr Hertoghe, if
13 you would. This is a medical centre in
14 the United States, the University Hospital in Maryland,
15 and their website. This is their web page for
16 hypothyroidism.

17 They go on to give a description and talk about
18 hypothyroidism in various ways. I'm going to take you
19 to the second page, if I may.

20 Under the heading "Other health effects of
21 hypothyroidism", they refer to headache:

22 "The following medical conditions have been
23 associated with hypothyroidism. Often the causal
24 relationship is not clear in such cases:

25 "Headache. (Hypothyroidism made worse in headaches

1 in people predisposed to them.)"

2 Yes?

3 A. The headache is due to piling up of the myxoedema in the
4 head, and that makes it that everything's compressed.
5 The skull cannot expand so there's a sort of
6 compression. That is why thyroid hormones in people who
7 have low thyroid function will take away the headaches
8 in most of the patients.

9 Q. I'm going to ask you to look at another document as
10 well. It is the International Headache Society's book
11 on headaches. D16.

12 Have the Panel received that?

13 THE CHAIRMAN: Yes.

14 MR JENKINS: Thank you.

15 This is several pages long. I only want to look at
16 one small entry. The top left-hand corner, we have
17 chapter 10, "Headache attributed to disorder of
18 homoeostasis".

19 10.4, the Panel will see there's a heading for
20 "Headache attributed to hypothyroidism". If I could,
21 please, take you on to page 111.

22 At the bottom of left-hand column, "Headache
23 attributed to hypothyroidism", various diagnostic
24 criteria are listed, which I don't read. There's then
25 a comment:

1 "It has been estimated that approximately
2 30 per cent of patients with hypothyroidism suffer from
3 headache."

4 Is that within your experience? Headaches are
5 fairly common.

6 A. Yes. I haven't done a review, but about 30/40 per cent
7 of my patients before treatment with thyroid hormones,
8 and that have thyroid deficiency, have headaches. It's
9 very common, and I would say it's usually 9 on 10 will
10 get rid of their headaches. 8 to 9 on 10, completely
11 rid of their headaches with thyroid hormones.

12 Q. Thank you.

13 Let's go on to the next slide, if we may. You have
14 dealt with mental signs or symptoms of --

15 A. There are some because there's a lot, some of the
16 physical symptoms. One of the most characteristic is
17 the coldness, cold hands and cold feet that will improve
18 with treat, and you could have sometimes people are very
19 warmly dressed so they don't suffer from the cold, but
20 then they are too warmly dressed. And they have also
21 easier -- dry skin, very typical. It's called
22 follicular keratosis. I won't go into the details but
23 it is linked also with vitamin A deficiency, a person
24 produces much less precursor when there's a low thyroid
25 function.

1 As everything is swollen up the voice may be hoarse
2 in the morning and will clear up during the day, and
3 they will not sweat so much, their sweat glands are not
4 stimulated enough.

5 If they lose their hair, they loss all over their
6 hair, it's a diffuse hair loss, just not on the upper
7 part, it's all over, and we'll see a picture like that.

8 You see the menstruations are not so good because
9 other endocrine glands will not work well with thyroid
10 hormone therapy. Why are doctors often enthusiastic
11 when they give thyroid hormones? It just seems to clear
12 up so many problems because it stimulates other
13 endocrine glands to work better, also the ovaries.

14 If they have pain in the joints because of the
15 myxoedema in the joints or in the muscles, it will be
16 all over, it's diffuse. That is how you can recognise
17 between, let's say, an adrenal deficiency that causes
18 joint pains, or a deficiency -- problems caused in
19 joints by low thyroid function.

20 They will also be stiff in the morning, will feel
21 better during the rest of the day when part of the
22 myxoedema is cleared up, and they many have other
23 problems, I will not go into in detail in order not to
24 overload you with too much information.

25 Q. Let's go on.

1 A. So what is one of the main cause of thyroid deficiency?
2 I was not really surprised, but I was a little deceived
3 that in the expert reports they didn't talk about that,
4 is that one of the main causes of thyroid deficiency is
5 just ageing, and we all age.

6 I'm 50 years, I already age. People can be -- you
7 can start ageing at 30, 35, 40 years. And I will show
8 you with evidence, because I will repeat, I will not
9 give you information if it does not come out of strong
10 evidence or out of my personal practice, but mostly it
11 will come from strong evidence.

12 You see in order for the thyroid gland -- and here
13 again I show you the thyroid gland is situated here, on
14 the base of the neck, in order for that to work in the
15 cells there's a lot of stages that have to be fulfilled.
16 Everything starts in the brain and the brain will
17 stimulate -- another part of the brain will stimulate
18 factors, thyroid releasing hormone, to stimulate in the
19 pituitary gland -- it's a little gland under the base of
20 the skull here -- this little gland that you see here
21 (indicating).

22 Q. TRH is thyroid releasing hormone.

23 A. Thyroid releasing hormone, and the TSH is thyroid
24 stimulating hormone, that will go through the blood, to
25 the endocrine glands, stimulate the endocrine gland,

1 which makes mostly a precursor hormone, T4 is poorly
2 active, it's mainly T3, and that T4 will go mostly in
3 the liver, and (inaudible word) in the kidneys, and
4 in the liver it will be converted to the active T3 for
5 the cells.

6 Every cell can make its -- most of the cells can
7 make their own T3 but mostly of the T3 is made in the
8 liver for the rest of the body. The brain has
9 a separate system.

10 In order for that to work, if one of the steps of
11 the stages there's a problem, there may be a thyroid
12 deficiency at the end. So when you have some schools of
13 thinking like you seem to have here in the UK, quite
14 extremist, I would say, and I will show you with
15 evidence that it's quite extremist, is that only you
16 check the blood. It's not in the blood that the
17 hormones work, it's in the tissues. You need to have
18 enough thyroid hormones in the tissues, and I will show
19 you studies that show there might be a problem -- even
20 if blood level are okay, there might be a problem.

21 Q. Can we just go back to the start. The hypothalamus,
22 a part of the brain.

23 A. Is here.

24 Q. Is that releasing thyroid releasing hormone?

25 A. TRH, thyroid releasing hormone.

1 Q. Does that go to the pituitary?

2 A. That goes to the pituitary, they thyroid releasing
3 hormone.

4 Q. Which will then produce thyroid stimulating hormone.

5 A. Mm-hm.

6 Q. Which goes round in the blood. If that reaches the
7 thyroid, as it would do, the thyroid is then provoked
8 into --

9 A. T4 and T3.

10 Q. Which is what the thyroid produces.

11 A. Yes.

12 Q. There's then a conversion of T4 to T3.

13 A. In the liver.

14 Q. And that is then circulated around the body.

15 A. To the muscles, et cetera.

16 Q. -- it goes to the various parts of the body.

17 A. Yes.

18 Q. -- that are supplied by blood.

19 A. But you need in the target tissues to have enough T3
20 receptors, mainly T3 receptors. There are some T4 but
21 mainly T3 receptors for everything to work.

22 Q. Let me ask you this question: is the level of T4 and T3
23 in the blood an indication of how the tissues are
24 responding?

25 A. Not directly, because it's just a preliminary stage. So

1 it is assumed often in medicine that if blood levels are
2 okay, it should be okay in the cells, which is not
3 always the case.

4 Q. I understand. Let's move on. You're talking about
5 ageing.

6 A. Or it's not enough to take blood test.

7 So ages on all the places, and I will show you
8 studies that show that every step ages. Every.

9 In the brain, for instance, with age, our brain
10 volume decreases 20 per cent in 90 year olds. So 90
11 year olds have the brain of a person between 3 and
12 6 years, and it shrinks, and there's a decrease in
13 neurones, there's a decrease in blood flow. That means
14 also less oxygen, et cetera. And in the brain there's
15 also a decrease in dendritic connections.

16 So even if you have enough neurones the connections
17 between neurones are less, and thyroid hormones can
18 stimulate all the connections between the cells. So you
19 need to have enough thyroid hormones --

20 Q. Forgive me, dendritic connections --

21 A. That is connections between the nerve cells, if you
22 want, of the brain cells. Also the number of
23 neurotransmitters decreases, and you have also
24 a decreased responsiveness to receptors. So even if
25 there are enough receptors, the affinity, the way that

1 the hormone binds to the receptors, is also in the brain
2 decreased, not only in the other target tissues, as
3 we will see.

4 Again, I will not go too much in detail, but TRH has
5 been shown to be decreased. There are scientific
6 studies to show --

7 Q. You're citing papers each time, I think.

8 A. Yes. Each times it's a paper I'm citing.

9 Q. The neurotransmitters are chemicals.

10 A. Are chemicals that go from one brain cell to the other,
11 from one nerve cell to the other. The ones, they tend
12 either to increase like somatostatin who inhibits
13 TSH(?). So some neurotransmitters increase and they
14 decrease thyroid function.

15 Q. Before we move on, for each of those you have an arrow
16 going up in some cases at the bottom or mostly down.

17 A. Here an inhibiting factor of the thyroid function
18 somatostatin increases.

19 Q. I understand. So for the first two under the heading
20 "Neurons" there's a decrease in the number of ventral
21 medial and arcuate nuclei, a decrease in the next thing
22 that you mentioned.

23 A. Yes.

24 Q. And as the brain ages, there's a decrease --

25 A. Decrease of all sorts of --

1 Q. -- in thyroid releasing hormone.

2 A. It's one of the results of the other stages that we saw
3 as a decrease.

4 Q. Thank you.

5 A. This is a neuron. Just to show you, there's a lot of
6 those little tentacles, they are connections, they will
7 make connections with other neurones and those
8 connections decrease with --

9 Q. I should ask, the neuron has been hugely magnified,
10 hasn't it? That's not real size.

11 A. Yes. No, it's extremely small.

12 You see this is the pituitary gland. Nobody can
13 have a good thyroid function if the pituitary gland is
14 not healthy. But you see that with age there's much
15 less TSH secretion when it should be. We should make
16 more when it was cold. It makes much less. So the more
17 we age, the more likely it is that there's a problem
18 with the thyroid activity.

19 In all stages, even the -- to give an idea, this
20 pituitary gland with age will fibrose for 40 per cent.
21 That means 40 per cent of the material of the pituitary
22 gland will disappear and be replaced by non-active
23 cells, fibrous tissue, but the remaining cells that
24 should stimulate the thyroid function and make TSH --
25 not all of those make TSH, but many of those -- they

1 will also be let active, less able to produce TSH. So
2 on older person will not necessarily be able to make
3 high levels of TSH later. So he may be thyroid
4 deficient, even though the TSH is normal because it
5 ages.

6 Q. I should ask, where we have two down arrows, does that
7 mean significantly --

8 A. Remarkable decrease. So at night we make a sort of peak
9 of TSH that is significant, everything flattens.
10 There's a lot, I'm not going to mention everything in
11 order not to overload you too much.

12 Even in blood things are not so well. Inevitably
13 the levels of thyroid hormones decrease with age.

14 T4 has not always been shown to decrease, but T3,
15 the active hormone, does, about 25 to 30 per cent. And
16 it's not as simple as that is that in the blood there's
17 also sort of anti-thyroid hormone called reverse T3 and
18 that increases with age.

19 So you have the blockers increase, the active
20 hormones you need decreased, and that is not shown in
21 classical texts the reverse T3 that is sort of blocker.

22 Q. You have mentioned as well --

23 A. There are also more antibodies.

24 Q. -- antibodies at the bottom. Are those increased with
25 age?

1 A. They increase with age, yes.

2 Q. Right.

3 A. So they will aggress more the thyroid glands.

4 So it is inevitably you see that the levels of T3 --
5 this is a study that shows the levels of T3 to decrease
6 by 25 per cent with age and 20 per cent for the T4. So
7 we won't remain optimally healthy for the thyroid gland
8 our whole life. The one who succeeds in that must have,
9 I would say, the elixir of long living, and I would like
10 to take it, but for the moment I don't think it exists.

11 You see it's not only that. When you look at the
12 blood level in an older person, it's not the same as
13 a younger person even if the hormone levels are the
14 same, it's not the same. When you look here, the
15 metabolic clearance decreases by age in 50 per cent in
16 men. What does this mean? This means --

17 Q. Remind us what clearance is.

18 A. The clearance means when the hormone gets inside of the
19 cells it's cleared away from the blood. So the decrease
20 of metabolic clearance we see is that the hormones
21 remain in the blood longer and don't go in the cells so
22 easily. So even if you have an optimal level in blood,
23 if the person is older, and if there's a 50 per cent
24 decrease, then it will be taken by the cells. So it
25 might be two times less thyroid hormone in the cells.

1 This is very important. This happens with all the
2 hormones -- well, with most of the hormones. But it is
3 detrimental, very detrimental, when there are problems
4 with thyroid hormones. You see the half-life increases,
5 that means it remains longer in blood, et cetera.

6 Also the arteries get a little ager [sic] and they
7 will bring blood a little bit easy because they have
8 a certain atherosclerosis and that will also decrease.
9 So there are studies that show the weight and volume of
10 the thyroid gland decreases, it atrophies, but it has
11 more with age abnormal nodules, or goitres, and I think
12 in one study one of the people died. More than
13 90 per cent had nodules.

14 Q. Tell us what a nodule is.

15 A. A nodule is a sort of tumour. It is mainly of the
16 thyroid gland tries to make more, thyroid hormones, and
17 it increased its size but, after a certain time it will
18 do this irregularly, and you get a nodule.

19 Q. A growth?

20 A. It's a growth, and one of the treatments -- because it
21 has been assumed here that endocrinologists never treat
22 biochemical euthyroid patients but that's normally not
23 true. Most of the good endocrinologists they treat --
24 when a person has a nodule, even if the thyroid levels
25 of normal they give the thyroid hormones to reduce the

1 volume.

2 Q. Reduce the volume?

3 A. The volume of the nodules, and that goes often away.

4 I have often people with nodules when you give thyroid

5 hormones after a year, if it's just appeared since half

6 a year or one year, it can go away.

7 Q. Remind us, what is goitre?

8 A. A goitre is a very large increase of the thyroid gland,

9 and it has been seen in many countries where there's not

10 enough iodine. In order to be able to make thyroid

11 hormones, you need to have iodine. If there's not

12 enough iodine, the thyroid gland will try to increase

13 its size, even very heavily, in order to absorb as much

14 iodine as possible, and to make the necessary thyroid

15 hormones. So you can block goitre formation often by

16 giving thyroid hormones.

17 Q. Right.

18 A. So people with goitre, even if they have normal lab

19 tests, will get often from the endocrinologist thyroid

20 hormones.

21 Q. Let's move on to the next slide, please. I'm on page 24

22 just to check with the Panel.

23 A. Even the nerves that go to the thyroid gland will

24 decrease. So they will be less active thyroid gland

25 with ageing. Inside of the cells there's accumulation

1 of waste products, so even if there are enough
2 receptors, it's not always as easy with age for the
3 thyroid hormones to go on to the receptors.

4 Q. Again, it's under the general topic as the patient
5 ages --

6 A. With age in the target cells there's an accumulation of
7 waste products, and levothyroxine(?) is sort of
8 oxidation products.

9 So even the cells of the thyroid glands will -- and
10 that's in all the organs, so in those cells will less
11 easily work because there's a little bit too much waste
12 inside. Levothyroxine deposits.

13 Q. Let's go to the next slide, please.

14 A. We see that when we look at the thyroid gland, the
15 production decreases with age, around 25 per cent. So
16 inevitably everybody, although the body stays the same
17 size, will have less and less thyroid hormone
18 production.

19 So the body has been built up for a certain amount
20 of thyroid hormones, so if you stay big and tall, and
21 you have less thyroid hormones, you will suffer. Not
22 only mentally, but also it will be physically seen.

23 All sorts of process in order to bring the thyroid
24 hormones into the bloodstream decrease. The iodine
25 uptake decreases, so they are not able to make enough

1 thyroid hormones because the cells who are a little bit
2 aging do not take the iodine from the bloodstream as
3 good. So everything's made for having a deficiency
4 sooner or later.

5 Even the liver, the liver has a fundamental role to
6 activate the thyroid hormones. So the T4 that is mainly
7 produced by thyroid gland has to be converted but the
8 liver ages. So it will make this conversion less and
9 less. When I hear that one of the experts said there's
10 nothing like a poor conversion, or it almost never
11 exists, it doesn't match the data. That's all I can
12 say. I'm not going to put any judgment on the reports,
13 I'm just going to say it doesn't match the data.

14 So it has been shown here in the study for instance
15 that the liver cell responsiveness to T3 decreases, so
16 even they are not as active because there are probably
17 less receptors.

18 Q. Again, it's the T3 that is active on the cells.

19 A. It really the active one. The conversion of T4 into T3
20 it has decreased. It has been shown over and over with
21 aging. So only young adults are generally optimally
22 able to convert if they have enough hormones.

23 This is very important. When do you think that
24 middle age starts? We have young page, middle age, old
25 age; when does this start?

1 Q. Depressingly early by the look of your graph.

2 A. You look at age 31 here, people are considered being
3 middle aged. So there's not so many who are escaping.
4 I think you escape, but I'm not sure the rest does.

5 Q. Can you just explain to us --

6 A. I'm going to show. You see for thyroxine the receptors
7 inside of the cells -- and this is on humans, this is
8 not on rats -- the receptors on blood cells like the
9 white blood cells for thyroxine do not decrease with
10 middle age, but decrease by 42 per cent in an elderly
11 person. An elderly person is considered 61 or more.

12 But the T3 that is so fundamental that you feel
13 good -- I have heard about the feel good feeling -- at
14 middle age is decreased by 41 per cent. That means that
15 even if your blood level is okay, it is absolutely not
16 guaranteed, it's probably that it is not so, that
17 there's enough thyroid hormone in the cells. That is
18 why the frequency in thyroid deficiency is much more
19 than suspected by official guidelines that are based on
20 laboratory tests that may have inadequate reference
21 ranges.

22 Q. Can we just explore what you have told us, because it
23 may be very important. If you have a certain level of
24 T4 and T3 in a patient aged 25, and have the same level
25 in a patient aged 55 --

1 A. It's not the same because there's less receptors for the
2 thyroid hormones in the person of age 65. So a person
3 might need higher levels, because one of the ways to
4 overcome this low receptors is to increase availability
5 of the hormones, and you can do that generally also by
6 staying inside of the reference ranges of the hormones.
7 You see that middle age decrease of the very and
8 crucially important T3. But that is not the only
9 problem.

10 Like I told already before, the receptors are less
11 likely to bind, even if there are enough receptors, the
12 binding is decreased by 54 per cent here. So
13 everything's made to sooner other later create thyroid
14 deficiency.

15 Now, we see even that the cell -- there's a study
16 for instance that shows that when the thyroid hormones
17 are in the blood they are not transported inside the
18 cells easily. We will go on over this.

19 You see this also, that the effect finally, it is
20 like Dr Skinner assumed, it is not only the complaints
21 of a patient that can give us an idea of this cellular
22 thyroid activity, it is also we have certain number of
23 markers, enzymes, that are less produced when the effect
24 of the thyroid hormones are less present in the cells,
25 when there's less thyroid activity.

1 So a lot of enzymes that we can check also in blood
2 that are inside of the cells are decreased, because of
3 this lower thyroid activity. So they are markers that
4 there's a lower thyroid activity inside the cells.

5 Even the muscles will contract less but part of the
6 reason is that there's no enough thyroid hormones on
7 those target cells. So it's very important to have this
8 in mind that just one of the factors that can cause
9 hypothyroidism is overwhelming in a population, it's
10 very present after a certain age. I'm not going to put
11 here a limit but you saw that already after age 31
12 there's a receptors can be decreased.

13 So now, what can a thyroid treatment do? Because
14 the doctors who work with thyroid treatment, like
15 Dr Lynn and Dr Skinner, see the patient before and they
16 see the patient after, and why are they so enthusiastic
17 when they correctly give the thyroid hormones is that
18 the patient is not only feeling better, but looks
19 better.

20 This is before, and this is after two months. See
21 the difference. She looks much more, I would say,
22 beautiful and smarter. This patient stopped her
23 treatment and she came back to this sort of outlook.
24 When she took back the treatment, she was there again.

25 See how the swollenness has disappeared practically,

1 the swelling of the face also has disappeared. You see
2 that her hair seems to shine better, they are more
3 lively, they grow also quicker, and the hands even look
4 thinner and the global outlook looks much better.

5 This is before and after. See the complete change
6 of the person. It's not only outer that changes.
7 Inside the patient feels much better.

8 So when you do not treat a person like this who has
9 low thyroid function, not only does she feel less well
10 but you see a persistence of changes that aren't
11 favourable to health.

12 It looks a completely different person, but this is
13 just two or three months of treatment.

14 Now, what I would say here, this person might still
15 have thyroid hormones inside of the blood levels. Low,
16 normal or something.

17 This person will probably definitely -- much more
18 swollen, will probably definitely have really outside of
19 the ranges of thyroid hormones. So there are two sorts
20 of groups of patients when you define them by
21 a laboratory test.

22 Look at the difference. You see, this person has
23 also low thyroid function, swollen here, and see how the
24 hair comes back almost completely, just by giving
25 thyroid hormones. It's just to mark how important

1 it is, what you're doing now.

2 You have decisions to take and do that in
3 consciousness, but this is what it's all about.

4 Just to give you an idea, this is a study where
5 1,569 thyroid patients were treated -- and I bet a lot
6 of them were biochemically euthyroid -- they were
7 followed for up to 20 years, but the mean follow-up was
8 5.2 years, and there was almost no appearance of new
9 ischaemic heart disease, so it was protective, and there
10 are other studies like that on a smaller scale.

11 Q. That was the Framingham study?

12 A. No, that's compared to the Framingham, this is what
13 Framingham has as a percentage of new heart disease and
14 this is the percentage that was in those people who were
15 treated with thyroid hormones. Because we are always
16 talking it is bad for the heart to give thyroid
17 hormones, but we have not had some of the good data.
18 I'm not saying there has been a selection, but there has
19 been an omission of data.

20 Q. Just explain that to us?

21 A. The graphic? So normally in a population in five years'
22 time if the Framingham is sort of representative
23 population of the United States that were used to screen
24 cholesterol and heart problems, so that village or that
25 city where those people had a sort of free check up

1 regularly, they saw in those people who were not the
2 treated you had a 4.6 per cent of new heart disease.

3 The patients who were treated with thyroid hormones
4 by -- it was actually Dr Barnes actually who published
5 in The Lancet in 1959, had only four patients, that
6 means 0.25 per cent had new heart disease. I have done
7 a similar study in my patient, I didn't go get those
8 good figures, I got 25 per cent decrease in new
9 ischaemic heart disease.

10 Q. 25 pere cent decrease --

11 A. Decrease in what was expected after follow up of six
12 years in a smaller sample of 281 patients, and
13 20 per cent less high blood pressure.

14 Q. Forgive me. What should we understand from --

15 A. What we should understand is it's protective against the
16 heart to correct a thyroid deficiency, if there was
17 a thyroid deficiency.

18 Q. So hypothyroid patients who are given thyroid
19 treatment --

20 A. Right.

21 Q. -- there is a reduced risk of heart disease?

22 A. Here was 16 to 18 times reduction of the risk.

23 These patients did not all receive lab tests. They
24 were treated at that time based on the temperature test,
25 on a clinical evaluation, which does not mean the lab

1 tests are bad, it means at that time they did it
2 differently and they had good results for the heart.

3 Q. We've been told that there have been lab tests for about
4 20 or 30 years.

5 A. That was in 1959.

6 Q. That was, yes.

7 A. So ...

8 Q. Do you agree that the lab tests have been around for up
9 to 30 years?

10 A. At that time the lab tests came around, it's more than
11 40 to 50 years that you have lab tests, but the lab
12 tests for T3 and T4 were specifically more around since
13 the 1970s, that's true.

14 Q. I understand.

15 A. But they had iodine binding or something, a test that
16 was used in that time, but they used a much more
17 clinical evaluation. This is to show you that the
18 people who had high blood pressure, the dangerous form,
19 the diastolic, the lower blood pressure is higher, they
20 almost all improved with thyroid treatment.

21 But these are all studies -- just to give you an
22 idea, in that time they were beginning to have a certain
23 form of lab test and they had good results, even though
24 they were probably not screened. They were clinically
25 screened.

1 This is to show you that a person to lose weight,
2 for instance, I don't say you have to get tired not to
3 lose weight, but it comes from the old thyroid, 1986,
4 and it showed -- I found up [sic] this study -- they had
5 doubling of the loss of weight when they receive
6 additionally not T4 but T3, because when you're on
7 a weight reducing diet, it increases the conversion of
8 T4 to T3. They improved because weight reduction diet
9 makes you T3 deficient, it decreased the conversion, it
10 makes lower T3 level.

11 So by giving the adequate T3, without overdosing,
12 they were not overdosed, they were doubling of the
13 weight loss.

14 So you understand that several aspects make the
15 patients enthusiastic and physicians. That doesn't mean
16 that they can do whatever, but that means that there's
17 a big importance of what we're doing now.

18 This is just to show you what happens with a kid
19 when he has not enough thyroid -- also of the collection
20 of my great grandfather.

21 Q. Do you mean 14 years old or --

22 A. He's 14 years old and he's only 74 centimetres. He
23 almost didn't grow. That is real excess.

24 But just to show you how important it is, this child
25 almost had no thyroid hormones, he had some, otherwise

1 he wouldn't be conscious, but you see -- I saw much
2 more, I saw a baby of 8 years not able to walk, and in
3 one year's time he could walk, grow much better, but he
4 has for life a definite mental deficiency when this
5 happens.

6 You see how different, the change. How the thyroid
7 hormones change, it's not just something that people
8 give additionally, it's things that have to be given
9 when it's necessary.

10 Now, I'm going to the allegations, because just
11 a preview, and I think it's important to stay to the
12 facts, why we are here.

13 So of what I understood when reading the reports,
14 and I have adapted a little the presentation to what
15 I hear here, the allegation is Dr Skinner would make the
16 wrong diagnoses of hypothyroidism, he would give to
17 everybody thyroid hormones. So he makes a wrong
18 diagnosis because he sticks to the thing that it is
19 hypothyroidism he's treating, he does not give
20 additional.

21 The arguments that are given that he would give
22 wrong diagnoses of hypothyroidism should only be based on
23 thyroid lab test, outside the reference range.

24 Dr Lynn, that we have here, I think he repeated also
25 here. It has exclusively to be based -- because the

1 hypothyroid syndromes are unreliable, but I showed you
2 how typical the outlook is, it's typical, you can
3 typically see signs.

4 You have to have a very high TSH, some say about 5
5 or above 10, which is even much higher than the upper
6 reference range actually, and very low T4 levels, so
7 you have to be under the reference in fact, which is
8 very low, which none of the four patients have. That is
9 certain. They didn't have those abnormal results.

10 There are other arguments that will see one or the
11 other. Arguments 2 and 3 are actually the same, is --
12 and Dr Lynn confirmed this here -- patients are healthy
13 within the thyroid lab test. They are healthy. There's
14 no scientific evidence that shows that they would be
15 unhealthy, except one study showed that they might make
16 easier a full-blown, clear cut thyroid deficiency if
17 they have a TSH in the upper part of the reference
18 range.

19 Q. Can I remind you of something Professor Weetman said.
20 I put it to him that there will be patients whose
21 chemistry is within the reference range but who may
22 still show signs and symptoms of hypothyroidism. He
23 told us that was not within his experience. He had
24 never seen it.

25 A. It's very strange because he wrote an editorial that

1 says the contrary, and I'll show that later.

2 Q. All right. Let's keep going. The third argument for
3 the prosecution you are putting down?

4 A. So there's no evidence of disease when thyroid test
5 levels are within the reference range of thyroid tests,
6 except the fact that you might develop an overt, a very
7 severe form of hypothyroidism if the TSH is above 2.5.
8 That is the only -- in the report.

9 Let's look at the first argument. Is it true that
10 hypothyroidism should only be based on thyroid lab
11 tests?

12 Let's look at history, and we already actually went
13 to history. The diagnosis of hypothyroidism was only
14 clinical before because they didn't have lab tests.

15 What it means clinical, is that you interview the
16 patient and you mark the typical complaints because the
17 are complaints that are much more common when you have
18 a low thyroid function, and there are physical signs,
19 they are very typical. It's not true that there's no
20 typical clinical sign. So it all started clinically.

21 What are actually complaints, the physical signs,
22 they're the reflection of what happens in the cells.

23 When you're used to -- it's also even more for other
24 endocrine deficiencies, it's a little less for thyroid
25 hormones because the lab tests are not as perfect. But

1 usually when I examine a patient I can almost know
2 exactly the level I will have in blood with
3 a 10 per cent change because the more physical signs
4 you see, the more complaints there are, the lower the
5 levels are used(?). It's a little bit less accurate
6 with the thyroid test, but for other hormones it's very
7 clear.

8 These are the books written by my great grandfather
9 and I'm going to show you this picture later. It has
10 a reason. It's not just showing that the family does
11 this, et cetera. You see here a book in English,
12 a famous article.

13 When he talked, he asked people of the audience to
14 come up and show the clinical signs of hypothyroidism,
15 because it's not so uncommon that you don't find
16 a person in the public who has typical signs. Not so
17 many people are being treated.

18 You see the myxoedema before and after. They only
19 looked at the person interviewed, typical group. One
20 complaint by itself is not sufficient. Constipation
21 alone is not sufficient. But if you have constipation,
22 coldness, stiffness in the morning, diffuse hair loss,
23 drier skin, you have more and more reason to suspect
24 thyroid deficiency. One is not enough, just headaches,
25 no.

1 Before and after, see the difference. So it was
2 clinical, before and after. You see how the person
3 improves. Still doesn't look good, probably has other
4 hormone deficiencies. At that time they didn't know
5 about that.

6 See, look at this person. This person looks stupid,
7 in a sense, and how smart she looks after.

8 Q. Do you see similar changes in your patients?

9 A. Yes, because that is what makes a physician
10 enthusiastic, and the patients also. They
11 say: everybody says I look much younger, I look better.
12 But we treat also other hormone deficiencies so there's
13 a combination. That's why you even have more chances of
14 having success.

15 Look at, for example, this child. No hair, and look
16 just at thyroid hormone, how it goes because thyroid
17 hormones are essential to make hair grow. Again, it's
18 not the male pattern baldness that is caused by thyroid
19 deficiency, that's another hormone problem. This is the
20 typical one of low thyroid function. When you have
21 a child who has that, he is slow to wake up in the
22 morning, he's cold, he's a lot of infections. So this
23 is just the tip of the iceberg, you see.

24 Before and after. This is a person who developed
25 thyroid deficiency in adulthood, so everything was

1 clinical before. And she's a little bit more obese, but
2 not extremely obese, and swollen up. Typical, these
3 flat feet, because ligaments are not very strong, and
4 you see also this group of symptoms. I'm not going to
5 go into detail there. But when I look at a patient
6 I can know if there was a lower thyroid function in
7 childhood than in an adult because they're a little
8 shorter of build often when they had severe thyroid
9 deficiency and they're smaller.

10 Q. I don't think we need to spend much time on children
11 because we're not dealing with them in this case.

12 A. No. Now, how valuable are thyroid lab tests? There are
13 shortcomings and advantages. So I always propose to my
14 students: do lab tests always. Also in the follow-up.

15 Let's go back. 1940, 1950 they began to start to be
16 able to detect molecules and in the 1950s, 1960s, the
17 tests became available. Then a bright or a non-bright
18 idea was to make reference values because that's
19 a bright idea. Values, because the option of the
20 reference value was values where people are healthy, and
21 outside of it will be unhealthy. When the reference
22 values were easy some doctors said, "How fantastic,
23 I have less work to do, I don't have to do the physical
24 examination, I just do lab tests, I can see more
25 patients and help more patients", because doctors are

1 not really, I don't think, for money, they're there to
2 help people, so if they can help more people it's also
3 good.

4 So lab tests are useful, but they are not sufficient
5 on their own as well I'm going to show, and they do not
6 replace clinical examination. That is a part of
7 diagnosis, it's not enough or exclusive, I think, you
8 need to have lab tests but you need the whole picture.

9 One of the problems with lab tests is the daily
10 fluctuations, it's not all stable, it doesn't stay
11 at the same level. How is it done with the thyroid lab
12 test? Maybe they are more stable than the others.

13 Here's the fluctuations you will have with TSH in
14 a whole group. That is for a whole group, the average
15 for a whole group, so in a morning they're about half of
16 what they are in the evening or at night. But actually
17 the personal fluctuation curve fluctuates much more when
18 you just take a person. This is the average of the
19 whole group.

20 This gives an idea of the fluctuation of the T4, the
21 thyroxine. You see the difference. This is something
22 like 8 and here it's 4. The levels can double during
23 the day. So it depends on which moment you are.

24 We will see later what happens with the graph, what
25 happens when you gave thyroid hormones, how it changes.

1 But you see a lot of fluctuations and this is for almost
2 all tests in blood for the hormones, it fluctuates.

3 There are many conditions that change the thyroid
4 lab test and may mask hypothyroidism. This is a summary
5 of some, not all, some of the conditions that change the
6 TSH. Many people go fasting in the morning and when you
7 fast, you decrease your TSH. So if you would be with
8 a high TSH, you might have a normal TSH. Ageing, we
9 saw, decreases the TSH, so even if you should normally
10 have a high TSH you might be normal.

11 There are a lot of situations, you have trauma or
12 whatever, but just think, the stress can change, can
13 even increase it, and the fasting in the morning, and
14 the ageing, it already changes.

15 We saw that there are several fluctuations. Again,
16 age is one of the major factors that the test, TSH test,
17 is not sufficient any more and never was, actually, for
18 thyroid diagnosis. If you have a very high, you're
19 almost sure to have a low thyroid function, but if it's
20 normal the older the person gets, the less efficient
21 it is. But some people are already old at 30, 40 years.
22 They have gone through so much stress, infections or
23 whatever, they can have premature ageing, so you see the
24 decrease of TSH is 50 per cent with age. 50 per cent.

25 So if you had a TSH of 6, for instance, which is

1 clearly accepted as being too high, it might be 3 on an
2 older person, but the body suffers the same. We saw
3 blood ageing, et cetera. The metabolic clearance,
4 that is decreased, et cetera.

5 So the tests on their own are not sufficient. Now
6 they have a problem with the reference values. So first
7 what are reference values of lab tests? How are they
8 determined? Well, first there's an obligation of every
9 laboratory to have its own reference ranges for the
10 tests they do because they work maybe with different
11 machines. And on who are they going to base their
12 reference ranges that they are making?

13 Q. Can I ask you just to look at the first tick and look
14 across. What are you meaning to say?

15 A. This is "too large". They are too large.

16 So they are based on the people who go to the
17 laboratory. How many people will take a blood test and
18 are perfectly healthy? Not much. So it's mainly based
19 on sick people. The laboratories do one thing. They do
20 one terrific thing: when a lab test is completely
21 abnormal, they will not use it to determine their
22 reference ranges. But when it's slightly high, slightly
23 low, they incorporate it.

24 So the lab tests are based on actual sick people.
25 It's mainly based on sick persons, those who go to the

1 laboratory. It's actually not healthy values. It was
2 never meant to be healthy values. When one of the
3 experts said, "It's healthy values or normal values",
4 it's absolutely incorrect. Absolutely not correct.

5 Q. Can I ask you to go back to the previous screen?

6 A. The previous one I think is not --

7 Q. You're saying that the reference range is too large.

8 A. Yes.

9 Q. What we know is that there are moves in America
10 significantly to reduce the reference range?

11 A. For the TSH.

12 Q. Yes. Way below 5 or 5.5?

13 A. Yes.

14 Q. And --

15 A. But this is in the normal population, most of the people
16 will be between the reference range; if they are sick or
17 not sick, they will be between it.

18 Q. And Professor Weetman had looked at some documents that
19 you had produced from German laboratories?

20 A. Yes.

21 Q. Where the upper limit of the TSH range was 2 or 2.5?

22 A. 2.5, yes.

23 Q. A long way from the 5 or 5.5 that we have here?

24 A. Yes. They have already followed the guidelines or
25 propositions of the most important society for that, the

1 National Academy of Clinical Biochemistry.

2 Q. So the reference range that we're dealing with in this
3 case, you say is too large?

4 A. Yes, the one you have in the UK is really quite
5 conservative, I would say.

6 Q. I understand. The second point that you made is that
7 the reference range is not related to the age of the
8 patient.

9 A. For thyroid tests they usually are valid for most of the
10 patients. This is why I wanted to go over -- this is
11 a slide I should have taken away because I have better
12 slides to explain it. For other hormones it's adapted
13 to age, which is a wrong thing, but for the thyroid
14 hormones it's usually between 50, 70, or 80 years so
15 they do not adapt it so easily.

16 What is meant here is that the reference range
17 should be based on the reference range of young adults.
18 Everybody's incorporated so we know with age, it
19 decreases with age, and they make then reference ranges
20 where for instance the lower limit of the T4 and the T3
21 is quite low because they incorporate also a lot of old
22 people.

23 Reference ranges, to be optimally healthy, should be
24 done on young people who are absolutely sure they have
25 no thyroid disease and no other disease, which is not

1 the case because the laboratory doesn't know who goes to
2 do the blood test. They are generally don't know
3 whether they're very sick or not. And it's not like
4 Professor Weetman said, 300 patients. Normally
5 a laboratory needs 3,000 samples before it makes
6 reference ranges, and they pick everybody except the
7 most abnormal results, which is not sufficient for good
8 reference ranges.

9 Just to show you, it is also a little different and
10 has been shown for the TSH, is that the reference ranges
11 for one person, optimal reference range, is different
12 for another person. I may need a reference range that
13 is very shortly around 1 and another may need around 2
14 or 1.5 or something. Individuals are different and the
15 reference range given by the laboratory is based on
16 3,000 patients so it's always larger because it
17 incorporates the maximum number of people. But if
18 you have to have your own personal reference range,
19 it is more narrow, it's narrower. So it's not health
20 values and it never was meant actually to be so. It's
21 just statistical ranges. 95 per cent of the population
22 between the reference range, and the 2.5, under 2.5
23 above.

24 It was so evident that for the cholesterol they
25 changed the ranges, they didn't base it any more. They

1 based it on a consensus, they asked main medical
2 authorities, those people, "What do you think would be
3 a good reference range?" and that's why it's lower.
4 But this has not happened for the thyroid function.
5 It was happening because there's much recent data, but
6 it's still not the case.

7 So normally if you take very healthy persons, for
8 instance for the TSH without thyroid abnormalities, this
9 is a (inaudible word) curve, so the more people you have
10 in the middle, the more levels you have, the higher the
11 curve is. If we take only healthy people, they are much
12 closer in the values and for instance for the TSH they
13 might be lower and for the thyroid hormones they will be
14 higher. So this should be a more healthy way of
15 determining the reference ranges and for the TSH that
16 will be lower.

17 I was quite surprised when one of the experts said
18 that, "Three out of four studies I have checked in
19 healthy and non-healthy persons, that reference ranges
20 were the same". I have seen so much the report of that
21 expert, I have very great doubts that whatever he says
22 is correct. I'm a little ashamed to be able to say
23 that, but I was so surprised; either the level is not
24 good or there is a problem there. This is a scientific
25 evidence problem.

1 I come back here. So it's too large, for each
2 individual the reference range is narrower and it's
3 wrongly adapted to regional changes. Because there is
4 one change, and Dr Lynn pointed out very well, that for
5 instance in Sweden or in other countries there could be
6 iodine deficiency or iodine excess and, as a result, the
7 reference range is even higher; you can go to a TSH of
8 11. But a person who has a TSH of 11 here has the same
9 sort of hypothyroidism, as a severe form, so these
10 reference ranges that are even larger are actually
11 inadequate, even more.

12 So how can we check for diagnosis of thyroid
13 deficiency? Well, what is historically the case, and
14 I think is accepted even by the main endocrine
15 societies -- I don't know why the British Thyroid
16 Association wants to be a different group -- is to do
17 a medical history, complaints, physical signs, lab
18 tests. Where there's some controversy is: do you do
19 also a therapeutic test or not?

20 Q. What is a therapeutic test?

21 A. A therapeutic test is: you suspect somebody to have
22 a problem and, if you're not 100 per cent sure, you will
23 give the therapy and, if he responds well, that means it
24 was adequate.

25 Q. Do you mean a trial?

1 A. It's a trial, yes. It's a trial of treatment. Now,
2 just to give you an idea that there have been a lot of
3 critics of hypothyroid complaints and that it's not
4 adequate enough -- but let's investigate what the
5 critics were doing.

6 Q. By "W", do you mean Professor Weetman?

7 A. Yes, I wanted not to name the name. Yes,
8 Professor Weetman.

9 Remember to have health, you need to have health
10 inside of the target cells. Essential. Essential.
11 How can we check for the intracellular thyroid activity
12 inside of the cells? By several means. You could check
13 for a hormone. It's classical, you check the
14 metabolites. When the hormone has been used as
15 a hormone, it goes on the receptors, has its effect,
16 it's changed. It becomes for thyroid hormones T2,
17 diiodothyronine, and then T1, one iodine atom,
18 et cetera. Then T0, it has no iodine atoms.

19 We can trace those results and have an idea of the
20 metabolic activity, only the tests are not available
21 commonly in laboratory tests so we cannot do it.
22 But that would be a good way, one of the good ways.
23 We can do it for other hormones.

24 We can check -- and that is more possible -- for the
25 results on the cells of thyroid hormones, like making

1 more enzymes, and they will also become more available
2 inside of the blood. We can, for instance, check
3 alkaline phosphatase. It's a marker, it's an enzyme
4 that is there for new bone formation. The more new bone
5 you make, the more it is present in the blood.

6 When you give thyroid hormone, it increases, because
7 thyroid hormones increase the new bone formation.
8 We have talked about thyroid hormones, that it may
9 decrease bone density. But without thyroid hormones,
10 you're not able to make new bone formation. So too much
11 is not good, too low is not good. So we can do that.
12 Also markers, proteins like SHBG, sex hormone, it
13 transports the sex hormones to the target cells from the
14 endocrine gland --

15 THE CHAIRMAN: Excuse me, Dr Hertoghe, I wonder are you
16 speaking a bit too quickly for the --

17 A. Am I?

18 THE COURT REPORTER: Yes.

19 THE CHAIRMAN: If you could slow down for all of us.

20 A. So you can check the results on the cells of the thyroid
21 hormones by what we call blood markers. Markers are
22 usually enzymes or proteins; they are proteins,
23 products, compounds that you find in the blood.

24 One of the ways to check is also the TSH, but I'll
25 show you it's a poor way to check because TSH is

1 secreted by tissue that is very different from other
2 tissues, the pituitary gland. You can also check by the
3 clinical signs and symptoms, that's the less expensive
4 way, and one of the most, if not the most, efficient.

5 Then one of the experts -- because I don't like to
6 make it a personal affair, I'm not against that expert,
7 I just show the flaws in his report. He gives a study
8 where he states that the study concludes by saying that
9 checking hypothyroid symptoms are not useful. So what
10 is my work as an expert? To check the information.
11 Go on the Internet, get the abstract.

12 And what does the abstract conclude? The abstract
13 concludes: evaluation of symptoms and signs of
14 hypothyroidism with this new score in addition to
15 thyroid function testing is very useful; it's not
16 adequate, it's very useful for the individual assessment
17 of thyroid failure and the monitoring of treatment.

18 I don't know why he changed the information.

19 MR JENKINS: That's a paper from 1997?

20 A. 1997, about ten years. He says headaches does not
21 exist. And you take The Thyroid and it's page 698, this
22 an old version. It's written, "Headaches". And it's
23 also written, "Visual impairment." You just have to
24 open the textbook, we have good equipment here.
25 But this is an old edition, two or three years behind,

1 but I think you still have it.

2 Again you have the symptoms and headaches. This is
3 a series of references where headaches are a symptom of
4 hypothyroidism. And this is just a small check. I'm
5 almost sure I could find 30 references on this, or more.
6 So it's acceptable to be criticised by people who know
7 what they are talking about.

8 But this is one of the major complaints of thyroid
9 deficiency, it's not one of the smaller ones, and this
10 is a study I did by switching over patients that were on
11 thyroxine alone and they had headaches and were switched
12 over by T3 and T4 combinations. I used synthetic and
13 dessicated thyroid. 62 per cent of the patients,
14 I would say almost all patients improved on their
15 headaches. Some had a total disappearance of headaches
16 and a third here had a great improvement.

17 So headaches are a symptom of hypothyroidism, and
18 then I had some strange information that last week the
19 expert had said patients who have too much thyroid
20 hormone, they get euphoric. I never heard about this
21 from my father, I never saw it mentioned in the books of
22 my great grandfather. I think in our familial tradition
23 we never saw euphoria happen in a person with too much
24 thyroid hormone.

25 So as I don't know everything, my brain is not big

1 enough for that, I have to look it up. I look it up,
2 euphoria in hypothyroidism on the Medline. The Medline
3 is on the Internet, a sort of information centre where
4 you can get all the information on the articles or the
5 most important articles. And I find no items. No items
6 were found on euphoria.

7 But this is not enough. I was curious, I said,
8 "Maybe by giving thyroid hormones, you provoke
9 euphoria", so I had to do a new search. And what did
10 I find? A study that shows that thyroid hormones
11 decrease euphoria. It's actually TRH, thyroid releasing
12 hormone increases euphoria. So you can give separately
13 TRH and be more euphoric. It's one of the stimulating
14 steps. When you give thyroid hormones, you decrease TSH
15 as you decrease the euphoria given by TRH.

16 Q. Slow down.

17 A. This is in agreement with what I see with the patients,
18 they get much better focused. What happens in a person
19 who has overdosed -- I'm taking thyroid hormones, I know
20 it myself. You don't get euphoric, you get anxious;
21 anxious and anxious, without any reason. It's very
22 uncomfortable when you're overdosed. I didn't see
23 a patient who liked to be overdosed. You get a lot of
24 other complaints, but anxiety is the dominating mental
25 symptom.

1 Visual side perceptions as a hypothyroid complaint.

2 When I read about that by reading the papers of

3 Dr Skinner, I said I never heard about it before.

4 Q. Professor Weetman hadn't heard of it, nor had you?

5 A. Nor had I. So it's possible, but I'm critical.

6 So I went to look on the Internet and I saw another

7 study that said: visual hallucinations or side

8 hallucinations. That I didn't see. But in 71 per cent

9 of patients with primary hypothyroidism you find visual

10 field defects, which is very close to what has been

11 said.

12 As I'm curious, I like to go and look further,

13 I like to see what could be the causes. The causes

14 in the literature of having vision defects in

15 hypothyroidism is a compression of the nerves that go

16 from the eyes to the rest of the brain by myxoedema, by

17 the waste products that pile up in the head.

18 Also, the nerves work slower, and that is one of the

19 reasons that is also put forward because of those vision

20 defects. Also there's a reduction of the blood supply,

21 there's less blood supply to the visual nerves, the

22 nerves of the vision.

23 Q. I'm going to interrupt you. I know that we need to

24 understand the reasons why there may be visual defects,

25 but you have researched it.

1 A. It does inform that there's information that may support
2 what has been said.

3 Q. I understand.

4 A. And there's also information to support visual
5 hallucinations in hypothyroidism being more often.
6 I'm just showing that it exists. You see the references
7 there.

8 Q. Can you tell us about the bottom part of that?

9 A. The bottom is: when you give thyroid hormones, like for
10 the euphoria, it decreases those wrong symptoms and you
11 do perceive more accurately.

12 Q. Visual perception is improved for --

13 A. Yes, so they did see better and better. So that doesn't
14 mean that those visual side perceptions are exactly the
15 same as this, but the chances are it's likely. And we
16 saw that The Thyroid textbook put "visual impairment",
17 and very strangely in the new edition they put it when
18 there's a sort of tumour that causes low thyroid
19 function, but in the old edition that wasn't there.

20 Anyway, the myxoedema, the waste products that
21 accumulate in the head are enough to cause that. You
22 see here the thyroid visual impairment.

23 Q. We've seen that. Thank you.

24 A. A little info on types of hypothyroidism may be
25 necessary because one of the experts talked about

1 subclinical hypothyroidism. So what is clinical
2 hypothyroidism and subclinical? Well, when you look at
3 the terms it means that the person who has subclinical
4 hypothyroidism does not have any complaints or physical
5 signs, it's only the lab tests that are abnormal.
6 So actually we're not talking about subclinical
7 hypothyroidism because all the patients here had
8 clinical hypothyroid complaints or suggestive of
9 hypothyroidism.

10 So we are talking about two forms. Overt
11 hypothyroidism that any doctor will treat, it's the one
12 who has clinical signs and symptoms, and lab tests that
13 are outside the reference range. And what we're mainly
14 talking about is patients who have the same sort of
15 symptoms, but their lab test looks normal.

16 Then you have other sorts of syndromes that we're
17 not going to talk much about, where people can be with
18 clinical symptoms, a normal lab test -- euthyroid sick
19 syndrome, they have normally low T3. But as physicians
20 look only at the T4 and the TSH, the physician can be
21 misled because he doesn't look at the T3.

22 Q. Slow down.

23 A. Okay. But all the others, like euthyroid sick syndrome,
24 autoimmune thyroidism, goitre and thyroid nodules can
25 have normal lab tests, but have clinical -- because

1 having a goitre is already a sign of hypothyroidism,
2 can have signs of hypothyroidism.

3 So I'm just going to stress that I'm not going to
4 talk too much on subclinical hypothyroidism, but I'm
5 going to show you some of the information. We are
6 talking about patients who suffer and who have
7 complaints, we're not talking of patients who do not
8 suffer. In subclinical hypothyroidism, normally the
9 patients do not suffer.

10 So this was to end up the part of the chapter on
11 hypothyroid complaints and we go back to the TSH test.
12 When we saw all the problems with the TSH, why do
13 some physicians rely so importantly on the TSH? Well,
14 because the believers in the quality of the TSH test --
15 and I'm partially a believer so I don't reject the
16 believers -- say that the serum TSH, the blood test,
17 reflects how good the thyroid hormones work in the
18 cells. Because in order to decrease the secretion of
19 TSH, the thyroid hormones have to go inside of the cells
20 that secrete TSH to suppress its secretion. So the
21 belief is that this is representative for the whole
22 body. The more thyroid hormones, the lower the TSH is.
23 But this is not always true. The cells that secrete TSH
24 are very different cells from the rest of the body and
25 they react differently. For instance --

1 Q. Can you take this slowly? I'm thinking of the Panel as
2 well as the stenographer.

3 A. Yes. Well, let's say what you have to remember, because
4 I'm not going into all the details, is that it's not
5 representative for the target tissues because it's
6 enzyme systems. In order for a cell to work, it does
7 reactions, and it bases its reactions on enzymes; its
8 enzymatic equipment is different.

9 For instance, if you have a high or a low T3, one of
10 the enzyme systems does not react while it does react in
11 other tissues. This means that a patient can be
12 euthyroid in his or her pituitary gland, but in the
13 target tissues, other target tissues can be low thyroid
14 or vice versa. But usually the body reacts so that in
15 problems, it will preserve the pituitary function more
16 than other functions, like for the muscles.

17 Q. Does that mean that a patient may have a TSH within
18 the reference range, but the target tissues are not
19 getting enough --

20 A. Are not getting enough.

21 Q. -- hormone?

22 A. Exactly. So here are the different kinetics between the
23 different enzyme systems, and it's in the pituitary
24 gland. You have mixing of type I and type II, but you
25 mainly have type II. The liver, for instance, has

1 mainly type I and they react differently. For instance,
2 the hypothyroidism, one of the enzymes systems --

3 Q. Slow down.

4 A. The enzyme system of the liver will decrease while it
5 increases in the pituitary gland.

6 Q. Right.

7 A. So the serum TSH does reflect intracellular thyroid
8 activity in the pituitary gland, but not in the rest of
9 the target cells. So the pituitary gland ages also, it
10 makes the test less reliable. The hypothalamus that
11 should stimulate the pituitary gland also ages, so
12 a normal TSH in an elderly person is not necessarily
13 normal. It doesn't mean that there's adequate thyroid
14 function in the other target cells than the pituitary
15 gland.

16 So less and less age will be good, and so many
17 people, I would say, prematurely age with all the toxins
18 we have; the pesticides can also have an adverse
19 influence. So in many people the serum TSH alone does
20 not well reflect thyroid function in target cells.

21 So the conclusion is that clinical signs and
22 symptoms remain an inherent part of the diagnosis of
23 thyroid hormone deficiency. The physician disagreed,
24 they underestimate the usefulness of clinical signs and
25 symptoms. Like we saw, Professor Weetman -- where does

1 he publish his articles? One of the articles that I saw
2 of him was in Annals of Clinical Biochemistry or
3 something. This is where the experts in lab tests
4 publish. So I agree, but you have to take this into
5 account, that it's clinical knowledge -- well, he
6 probably does underestimate very much the importance of
7 those clinical signs.

8 I personally cannot follow up a patient without
9 seeing him. I have to examine him or I cannot know if
10 the dose is too much or too low. It's not enough on the
11 blood test to know if it's ultimately good. So I would
12 say that allegation number 1 is not true for Dr Skinner.
13 The diagnosis of hypothyroidism should not only rely on
14 thyroid lab tests.

15 Do you want to make a pause? Is it okay? I can
16 continue. I don't know how okay it is for you.

17 THE CHAIRMAN: I thought we would break around 1 o'clock.

18 MR JENKINS: Are you able to go on for 15 minutes?

19 A. Sure. Let me take a glass of water.

20 Q. That's the first proposition that you've dealt with.

21 A. Now I'm going to talk on the evidence. Within the
22 reference ranges there are proven problems and it
23 concerns everybody, and more when you're sick or you're
24 a patient. These are the reference ranges given, so
25 here, if you see the graph --

1 Q. We cannot quite see the bottom figures, but the Panel
2 have the range clearly shown on page 65 of their bundle.

3 A. You see here the reference ranges are between -- I've
4 taken the one we have here, 5.5, to 0.4, 0.2. So it's
5 very large. A person who has 5.5 has about 25 times
6 a higher value than a person who has 0.2 or 0.3.
7 Is this normal? This is not found normal any more by
8 big endocrine societies. So the old TSH reference
9 range, 5.5, has been reduced actually, and that is more
10 internationally accepted, up 4.2, 0.2 to 4.2.

11 That is the actual TSH reference range of the
12 world's most important institute for those guidelines,
13 the National Academy of Clinical Biochemistry.

14 Q. That's the American organisation?

15 A. That's the American organisation but it has been
16 re-evaluated by physicians from all over the world who
17 are experts. We had even Belgians and French people,
18 et cetera, and even Professor Weetman has been taking in
19 those as reviewer(?)

20 The new reference range of the American Association
21 of Clinical Endocrinologists is this reference range,
22 0.3 to 3, and the proposed better TSH reference range
23 now by the NACB is from 0.2 to 2.5, so these will
24 probably be the internationally accepted reference
25 ranges in the next years that come. The TSH reference

1 range of the International Hormone Society is smaller,
2 it's 0.2 to 2. But this is a proposition.

3 The target TSH reference range on the thyroid
4 therapy of most important endocrine societies is even
5 smaller. It's 0.5 to 1.5 or up to 2. It depends,
6 but it's very stricter.

7 Q. That's the National Academy of Clinical Biochemistry?

8 A. And the Endocrine Society and the American Association
9 of Clinical Endocrinologists. When you're under therapy
10 you should be under 1.5 or 2.

11 Q. Slow down, if you would.

12 A. 2.0. The target for thyroid cancer, when you have
13 thyroid cancer you have to be under 0.4 or you have
14 an increased risk of recurrence.

15 Q. Can I remind you of the range which most of the
16 patients, A to D, were judged by. It was the reference
17 range of 0.4 to 5.5.

18 A. But that's a outdated reference range, so that means
19 that it's not that easy. And there are problems around
20 the reference range that have been accepted also by the
21 experts, I think; at least by Professor Weetman.
22 I don't think that you, Dr Lynn, had proposed that those
23 reference ranges ... I think there was a comment like
24 that.

25 Now let's look a little bit at the TSH reference

1 ranges. These are two studies that show that within the
2 reference range in the upper 25th percentile of TSH
3 there are already abnormalities. People who take
4 anti-depressants do not respond well if they're in the
5 upper 25th percentile of TSH. Upper 25th means the
6 upper 25 per cent of the reference range is already not
7 so normal. If you give an anti-depressant to the
8 person, he will not respond so well. This is why
9 there's a number of studies on patients who have a
10 normal thyroid, but they receive anti-depressants in
11 combination with T3 therapy, and then it works much
12 better.

13 Another study shows here also that there's an
14 increased risk of severe depression, suicide attempts,
15 and somatic disease if you're in the upper 25 per cent
16 of the values of the TSH reference range. So if you're
17 above something around 2.5, 3, you're not normal any
18 more.

19 Here are two other studies: in the upper 25th
20 percentile of TSH you are fatter. You have a higher
21 body mass index, you're fatter. You have more fat.

22 Here another study shows that your waist
23 circumference -- it is better than your weight to check
24 the waist circumference because the bad fat is the fat
25 that accumulates around your belly. Who cares about

1 your weight? What is important is not to have a fat
2 belly, I would say. And not only is the waist
3 circumference higher, the body mass index higher, but
4 the sugar level is high in the blood, the triglycerides
5 are higher and your systolic blood pressure is higher,
6 significantly, so that part of the reference range is
7 not a good part.

8 Here is another study that shows in the upper 25th
9 percentile, so upper 25 per cent, you probably also can
10 be suspected of having a mild thyroid failure, in normal
11 people, because the blood pressure is generally higher,
12 not very much, but slightly.

13 In order to be accurate, because I'm not only taking
14 information in one direction, if you're in the lower
15 20th percentile of TSH for a woman you can have a risk,
16 an increased risk of lower bone mineral density.
17 Generally this is only seen in post menopausal women, so
18 women who don't have their menstruations any more and
19 they don't get any treatment, they might have a problem
20 if they have a low TSH. But these are not people who
21 are treated. That's the big difference. They are not
22 treated with thyroid hormone.

23 Also if you have a tendency to be depressed or
24 manic, to be euphoric excessively, the risk increases if
25 you have a lower TSH. So there are studies that show

1 you shouldn't be too low.

2 Q. Those are patients within the reference range?

3 A. Those are people within the reference range. But this
4 is just the beginning, because ... There are studies
5 that are very precise. They check: what if a person is
6 above 3? Well, if a person is above 3 and they have
7 also antibodies against their thyroid, they will have
8 more heart abnormalities when you check by ultrasound,
9 so the heart is not normal any more.

10 Q. Doppler is the ultrasound?

11 A. Yes. If the TSH is above 3, it's the same information,
12 so I'm going to get over. If it's above 2.5, women who
13 are pregnant have an increased risk of having antibodies
14 against their thyroid, which will at the end generally
15 destroy the thyroid gland sufficiently to create
16 hypothyroidism in many cases.

17 If it is above 2.5 of TSH, women who have in vitro
18 fertilisation, who have test tube babies, they will have
19 smaller babies who will be born too soon and who will
20 have lower birth weight, which is not good. So pregnant
21 women should be under 2.5, not above.

22 Remember the TSH range here goes up to 5. If you're
23 above 2.1, and you're a patient who has a heart problem,
24 you will have more stenosis of the coronary arteries.
25 So the heart receives its blood supply by arteries that

1 are called coronary arteries and other arteries and
2 there will be more plaques in those arteries, in other
3 arteries. If you're above 2.01, so above 2, and you're
4 a normal person -- let's say that we're all normal
5 here -- the arterial wall of your arteries is already
6 stiffer.

7 Q. Thicker?

8 A. Thicker and stiffer. Normally an artery should open up
9 when the bloodstream goes through and it gets stiff like
10 an old person, so you have a significant mild increase
11 of arterial stiffness. If you're above 2, a pregnant
12 woman in the third trimester, there's doubling of the
13 risk of having a low birth weight, which is not good.

14 If you're above 0.9 and you have a thyroid
15 abnormality, a thyroid nodule, a little tumour here,
16 that normally is benign, you have a significant risk of
17 having thyroid cancer. So people who have nodules
18 should be under 0.9. I think one of the patients had
19 nodules.

20 If you're above 0.4, or have at least an increased
21 size of the thyroid gland, 0.4, there's another study
22 that shows the same but there the limit is 0.4.
23 So you have to be at the lower reference range there
24 to be safe. He has other studies.

25 Q. Can we summarise those?

1 A. Yes. Cholesterol also is a problem, above 2.0. You can
2 have also other markers of atherosclerosis, aging of the
3 arteries is increased when you're above 2. You have up
4 to 71 times more risk when you're 2 to develop an overt
5 hypothyroidism compared to people with a TSH under 2,
6 et cetera.

7 Here is also greatly increased risk. For people
8 with diabetes the limit is 1.53. It may not be higher
9 or you have an increased risk of developing overt
10 hypothyroidism. Here is a study that shows that when
11 it's too low, less than 0.3, there could be an increased
12 risk in post menopausal women of having bone loss.
13 Again, women who are not treated, all those studies are
14 done with non-treated people.

15 THE CHAIRMAN: Is this a good place to stop for lunch?

16 A. Yes, I think so, because I have to go also in details
17 and it might take too much time.

18 THE CHAIRMAN: We will meet again at 2.00. Thank you.

19 (1.00 pm)

20 (Lunch Adjournment)

21 (2.00 pm)

22 MR JENKINS: We dealt with TSH range and your analysis of
23 a number of papers, and we turn to the T4 reference
24 range.

25 A. So now it's about the T4 reference range, and we have

1 about the same, we don't have at much studies as for the
2 TSH, but all those studies are quite recent, and recent
3 means the last ten years. Some may be older but most of
4 them are recent.

5 Here we have a study, this is the reference range,
6 I don't know if you see on the bottom, but on the bottom
7 and certainly on your papers, you have numbers that are
8 more or less the British T4 reference range in picamols
9 per litre.

10 Q. Take it nice and slowly, please.

11 A. Yes. You see here, this is a study, and in the
12 reference range from here to there (indicating), there's
13 increased scores for depression in patients with
14 Alzheimers disease. If they are situated in the lower
15 half of the reference range, just the lower half, they
16 have the two quartiles -- they have increased scores of
17 depression, but everything is not as clear cut. They
18 have at the same time also less memory loss, so there's
19 an advantage and a disadvantage.

20 Here in the reference range in the lower tertile,
21 that means the lower 33 per cent of the reference range,
22 there's increased insulin resistance in normal people.

23 Q. What does that mean?

24 A. That means that they have tendency to get on fat and to
25 develop in a later stage diabetes. So if we're in the

1 lower tertile, we're probably at huge risk of insulin
2 resistance, and many people who are obese have insulin
3 resistance. Insulin does not work well enough. The
4 body's resistant to insulin. So the lower tertile of
5 the serum T4 is not a good tertile.

6 Here is a study where patients who had lipid
7 problems in their blood had also -- if they were in the
8 lower tertile of the free T4, the reference range, had
9 a higher CRP, that's a substance that is increased when
10 there's premature atherosclerosis. So people in that
11 range, if they have high lipids they also -- in that
12 range of the T4 are increased risk of atherosclerosis.
13 That is aging the arteries.

14 Here is a study in women. Normal woman. If they
15 are in the lower tertile of serum T4, they score lower
16 for the many mental states, so they have an increased
17 risk of having great memory problems and dementia.
18 That's not a safe range following that study for
19 a woman.

20 Q. Right.

21 A. Here is a study when you're in the lower quartile,
22 normal people as we. You are at an increased risk of
23 dying. So it's not only disease, mortality is also
24 increased.

25 If you're under the low reference range, the

1 mortality increases very quickly and, if you are just in
2 a very, very low level of T4, 80 per cent mortality.

3 Q. Remind us, are these patients being treated?

4 A. None of these patients are treated.

5 Here is another study, when you're in the lower
6 quartile, that means the lower 25 per cent of the
7 reference interval, so some studies consider that when
8 you're in the lower part they include also all the lab
9 tests that go up to zero.

10 This is a study which includes the interval itself,
11 the 95 per cent. When you're in the lower third of that
12 interval you have a twofold incidence in metabolic
13 syndrome. Metabolic syndrome is related to obesity,
14 high lipids in blood, high blood pressure, et cetera.
15 So all risk factors for increased mortality and lower
16 wellbeing.

17 Here is another study, when you lower 25 per cent of
18 the thyroxine, the free thyroxine, but that means also
19 all the values up to zero.

20 Q. Slow down, please.

21 A. In children that have been prematurely born, there's
22 white matter damage. So children being in lower
23 quartile, if they are born too early, they have damage
24 in their brain at those levels, and not if it's higher.

25 Here is a study where under the 10th percentile,

1 that means in the lower 10 per cent of the population,
2 one in ten of us is indicated here. If they were
3 infants, because this is in infants, when they are just
4 born, they need ventilation assistance, they cannot
5 breathe correctly. So they need to be helped at the
6 beginning of their life.

7 Q. I don't know that we need to talk about infants.

8 A. Here's another one in pregnant mothers. Still it's
9 important to see because some of those women could have
10 still babies, the four patients we're talking about.

11 If a woman is in the free T4, in the lower
12 10 per cent, so 7.5 percent of that 10 per cent is still
13 within the reference range.

14 If pregnant mothers have that, there's lower levels
15 of -- there's impaired psychomotor development, so the
16 children have intelligence problems and problems of
17 behaviour and also of coordinating their movements more
18 if the mother during the pregnancy was in the lower
19 10 per cent of the values.

20 Q. Right.

21 A. Also it shows that the lower the T4 is in a pregnant
22 mother, at age 15 years, so much later, the children
23 have psychomotoric problems and also behaviour problems.

24 What about the T3? We have just seen one or two
25 tests on the T3. I will still go over, there's some

1 information.

2 Women who have values, who still can be within the
3 reference range but quite low, women who have been obese
4 have usually lower values than a woman who has never
5 been obese. That means that probably the fact that they
6 have low values of the T3 give them a tendency to get
7 obese, and they keep this tendency even though they have
8 lost weight.

9 Here's another value, if you're in the lower
10 tertile, the lower 33 per cent of the population, up to
11 the values of zero, if there's chronic heart failure,
12 people with heart problems, there's increased risk of
13 worsening of this disease, adverse cardiac diseases.

14 Also here, a study that shows that also values
15 within the reference range can predict -- of the serum
16 T3 -- mental disability. So if you're in the lower part
17 and you're and you're aging you will probably have more
18 mental problems, memory loss and others.

19 Here are studies that are not so well-defined on the
20 values but still in women who have breast cancer. In
21 several studies they usually have lower T3 levels so
22 it's not so interesting to be in these reference ranges
23 in the lower part.

24 Here is another study that in patients who have
25 multiple trauma, if they have a low T3, they have

1 increased mortality, but that's usually values under the
2 reference range which is very low but you see the lower
3 it is, there more risk there is to die.

4 If you are under 1 picamol, which is a very low
5 value of the serum T3, it's five times lower than it
6 should be, you have 17 times more risk of dying.

7 So there is evidence, it is not true there is no
8 evidence.

9 Now there was some talking about alternative
10 diagnoses. Dr Lynn's talked about depression, for
11 instance.

12 Just to show you, when there's low thyroid function,
13 one study showed there's eight times more risk of being
14 depressed, and even more subtle forms, like subclinical
15 hypothyroidism, where the patients don't really seem to
16 complain and they just have a high TSH, so one of the
17 lab tests is normal.

18 They have three times more risk of being depressed.

19 Q. Compared with normal subjects?

20 A. With normal subjects. In my impression, when I started
21 medicine actually I wanted to go as much away from the
22 hormones as possible, so I decided to do psychiatry.

23 So I was admitted as an assistant of psychiatry but
24 I saw so many cases I would say with hormone
25 deficiencies, not only thyroid deficiency, that

1 I preferred to go into endocrinology, and I had much
2 more success in treating low thyroid function with
3 thyroid hormones in people who had also depression at
4 the same time, for depression than with the medications
5 we gave. It was actually treating the cause rather than
6 the symptoms.

7 You see that even when you have a disease of the
8 thyroid gland that is linked with autoantibodies so you
9 make molecules that attack your own thyroid gland.
10 You have also three times more risk of being depressed
11 probably because those patients already have a mild form
12 of thyroid failure. Thyroid insufficiency.

13 So we could treat the depression, but are we
14 treating the consequence or the cause?

15 Then it was said maybe the cause is constipation.
16 In one of the reports, it's constipation, it's an
17 excellent symptom of thyroid deficiency.

18 So we can do two sorts of medicine. We take
19 a patient who's hypothyroid, and we declare because his
20 blood tests are maybe not 100 per cent convincing that
21 he's not hypothyroid and we will treat the depression,
22 we'll give antidepressants, we'll treat the sleep
23 problems, because sleep problems are also impaired,
24 there's less deep sleep, the deep phases, the most
25 important phases of sleep is less in hypothyroidism so

1 we give sleep indications.

2 Q. Slow down.

3 A. There's constipation, we will give laxatives and we'll
4 end up maybe by giving 5 to 20 different products in the
5 place of treating the cause.

6 It's two types of medicine. Treating cause or
7 treating the consequences. For the pharmaceutical
8 industry it's much more interesting to treat the
9 consequences.

10 Now, what about the controversy? This is taken out
11 of the British Medical Journal, I believe.

12 Q. Yes. That's Dr Skinner's letter from 1997.

13 A. What about treating clinical hypothyroid patients but
14 biochemical euthyroid? Is there data, is there
15 information that would justify it? Is there information
16 that it works?

17 THE CHAIRMAN: Is there a page number that you're up to?

18 A. I think I added this and I'll give you all those slides
19 later. I'm sorry, some of the slides I've added.

20 MR JENKINS: I think you were told at the start madam, and
21 it will be apparent as we go through, that some of the
22 slides are not reflected in slides that we have on
23 photocopies. But that is an extract from a document
24 that the Panel have seen before.

25 A. Yes.

1 Q. It's Dr Skinner's letter and it's at the back of
2 bundle 2, tab 4.

3 A. It's a study on 80 patients that were considered
4 hypothyroid, are based on clinical signs but not on
5 biochemical.

6 Q. Bundle 2, tab 4, page 138.

7 A. Dr Skinner published something many physicians were
8 doing.

9 Is it possible that there would be a lack of thyroid
10 hormones although the blood tests are normal? This is
11 the debate between two schools of thinking.

12 When there's a debate in order to get out of the
13 controversy, we have to go back to science. We have to
14 look up the data. We cannot just rely on our belief.

15 This is to give you an idea of what happens when
16 there are deficiencies. This is based actually on the
17 scheme that a Czech doctor wrote about decreasing
18 levels.

19 Before you get a severe deficiency and irreversible
20 damage there are moderate degrees or intimate degrees of
21 deficiencies, and the lower the levels are, the more
22 problems you can get or the more signs you can have also
23 in lab tests.

24 Conventional endocrinology treats when it's severe
25 deficiencies under the lower reference range, and then

1 even outside of the range, then even in certain
2 conditions. Because we heard that some endocrinologists
3 would only treat the TSH above 10, although the outdated
4 reference range we have here is 5.5.

5 I will explain also later what the problem is in
6 endocrinology, it's diabetes, it's not really
7 endocrinology that most endocrinologists have
8 educational specialisation during their training before
9 they have a speciality.

10 Optimal medicine may start here, treating also
11 moderate (inaudible) deficiencies. In order to avoid
12 arterial stiffness you have in your arteries, we saw
13 this when you were already in inside the reference
14 range, you have already arterial stiffness with higher
15 TSH in the reference range.

16 You have to start treating early. Again,
17 conventional medicine treats severe deficiencies when
18 they're under, but often the levels of hormones are
19 25 per cent to 30 per cent of optimal before you are
20 under the lower reference range.

21 Q. Can we go back to the previous slide?

22 A. This one?

23 Q. Next one. Where are you suggesting treatment should be
24 considered to start?

25 A. Treatment should start when there's already a mild

1 deficiency. Because a mild deficiency will already have
2 traces, you will have marks on the body, and if you wait
3 too long, it will be irreversible. It's much easier to
4 prevent than to reverse.

5 We saw some, in a certain sense, miracles when you
6 saw how the patients changed with thyroid therapy, but
7 if you saw very well the most elderly patients that
8 changed, they didn't have so much beautiful change than
9 when people were young, because there's already some
10 irreversible damage when you wait too long.

11 So there are several authors that have given names
12 to all those intimate degrees, preliminary, biochemical,
13 physiological, clinical, anatomical, following the
14 stage, but those are just from moderate to severe. It's
15 just names and the importance is to treat early enough
16 to you don't have -- but don't overtreat. I'm not
17 a proponent of doping the whole proposition. As soon as
18 there's real identified deficiency documented, treatment
19 is necessary. For this concept of borderline
20 deficiencies means that there's much more deficiencies
21 than actually are treated in conventional endocrinology.

22 Remember receptors are already much lower, are
23 really from age 31 on.

24 Q. Yes.

25 A. To give you an idea, almost all of the patients, three

1 of the four patient, did not have T3 testing. There's
2 a special syndrome called low T3 syndrome where T4 could
3 be normal, TSH could be normal, and T3 is low.

4 Q. T3 is the active --

5 A. Is the active hormone.

6 Q. For acting on cells.

7 A. And there has been quite a lot of debate in
8 endocrinology, should we treat or not, because the T4 is
9 good and the TSH is fantastic, normal. I haven't put
10 all the literature here, I have a whole slide show, but
11 that shows increased mortality with a low T3 syndrome,
12 at least two studies and I think there's a lot of other
13 studies, but I probably didn't collect it all.

14 Just to show you, in red are the levels in the
15 tissues when there's a low T3 syndrome. They have been
16 examined in people who died. They examined two sorts of
17 people who died, people who had the normal T3 and people
18 who had the low T3.

19 The people who had the low T3 had on the average
20 about 50 to 60 per cent lower T3 levels. So there is
21 in the tissues less of the active hormone.

22 Q. Can I pause you there. Should we see each pair of
23 cylinders as resulting to a different part of the body?

24 A. Yes. So this is for instance the pituitary gland. This
25 is the hypothalamus in the brain, the cortex in the

1 brain, 45 per cent less T3.

2 Kidney, much less, 71 per cent.

3 Liver also much less, 76 per cent less.

4 Lungs much less.

5 And here most patients had lower in the heart and
6 skeletal muscle but some had fantastically high levels
7 and we do not know why. But in most of the tissues the
8 results are not good.

9 This is one of the proofs that they can exist
10 although T4 and TSH are normal, much too low levels
11 in the target cells.

12 Now, also in those diseases you have also reduced
13 binding, diabetes is one disease with lower T3. There
14 is the receptors inside of the cells, they don't bind
15 easily, the T3, so there's also less activity although
16 the T4 and TSH are normal.

17 Here also around in the brain, in diabetes, in
18 glycocells(?), one type of brain cell, there's less
19 binding of the important hormone, T3.

20 Here is people who have adrenal deficiency. They
21 stop their medication for two days and in two days' time
22 in the cells there was 50 per cent less receptors for
23 T3. So if you have another endocrine deficiency like
24 cortisol deficiency, you can have a too low T3 inside of
25 the cells, although blood tests are normal. There could

1 be not enough thyroid hormones in the cells.

2 Q. Right.

3 A. When you take back the medication you normalise the
4 situation.

5 There are several studies that show reduced binding,
6 also in obesity. Obese persons have lower T4
7 neuroreceptors I don't think they checked here the T3,
8 but they had lower T4 neuroreceptors.

9 Also these persons can have thyroid insufficiency
10 although the lab test may be normal.

11 Here is another person, that older persons tend to
12 accumulate the blocker of T3, reverse T3. It's another
13 hormone that is also produced from T4, and that is more
14 present when you have a disease or when you are aging;
15 and who is not aging at one way or another. Even if
16 you have normal lab tests in the cells, there can be an
17 excess of reverse T3 and that can block inside of the
18 cells the function.

19 So also if you fast, you go on a low calorie diet,
20 for instance, you have more reverse T3 that will
21 block -- even though you might have normal thyroid test,
22 will block the remaining T3.

23 The crucial question here is -- it's actually the
24 question I think here: may physicians treat clinically
25 hypothyroid patients but apparently biochemical

1 euthyroid within the large reference ranges we have
2 here? And may they do what is called a trial, a therapy
3 trial.

4 The International Hormone Society has made
5 a consensus on this on which conditions this could be
6 done. Clinical symptoms, accurate dating, you have to
7 do tests also, eventually repeat tests, but it could be
8 done if it's done on smaller doses and very slowly
9 increased.

10 Q. This is your society, the society of which you are
11 president?

12 A. Yes. That's one of the -- we have 11 consensuses.
13 The consensus number 1 is on thyroid therapy, exists
14 already since for two years and we have something like
15 1,245 signatures. Physicians from all over the world.

16 We won't go into details because there's a little
17 too much information. I'm going to show you that
18 Dr Weetman himself now in a recent editorial gave
19 another version of what he gave here as information.

20 Dr Weetman says he falls into the camp which
21 believes that a trial of thyroxine is granted in any
22 symptomatic patient -- any symptomatic patient -- with
23 sustained subclinical hypothyroidism, but a real
24 subclinical hypothyroidism you don't have any complaints
25 because it's subclinical, it's under the clinical,

1 irrespective of the TSH concentration.

2 Q. Subclinical hypothyroidism?

3 A. American Journal of Clinical Biochemistry.

4 Q. Does subclinical hypothyroidism mean that the TSH falls
5 outside a reference range?

6 A. Normally it means that the TSH is above the reference
7 range. But he says here irrespective of the TSH
8 concentration, so I think he really means biochemical
9 euthyroid patients.

10 He also says that when talking about TSH guidelines,
11 the danger in simplification is obvious in that it fails
12 to take the patient as an individual into account.

13 Dr Weetman says he has also justification for
14 flexibility in deciding on treatment in an individual
15 patient. There's a lack of understanding still that
16 reference ranges are not normal ranges.

17 This is clearly much still to do to refine TSH as
18 says and their interpretation, there never will be
19 a single simple test for thyroid disease. I fully agree
20 with him.

21 I do not know, I absolutely do not know why he had
22 a different version here; and it's not my task to judge
23 that.

24 Now, you have to know that the majority, if not all,
25 endocrinologists treat regularly biochemical euthyroid

1 patients. The ones with thyroid nodules. It's a safety
2 measure against cancer, but it can also reduce the size
3 of nodules if it's recent appearance.

4 So endocrinologists are all regularly treating
5 biochemical euthyroid patients, and here they have no
6 problems with that. I have no problems with that. No
7 conscious problems. They want to talk about arterial
8 fibrillations, I've written "not very much".

9 Since how long are endocrinologists treating
10 clinical hypothyroid but apparently biochemical
11 euthyroid patients with thyroid hormones? Since the
12 beginning.

13 Look at this picture again my great grandfather.
14 Look at the title of one of his books "Myxoedeme
15 Fruste", that means mild myxoedema. Mild myxoedema
16 would probably fit into the category today of
17 biochemical euthyroid clinical hypothyroid patients.

18 They were treating patients, not lab tests at that
19 moment. Lab tests are good but they have to have their
20 place where their place is, part of the team, not solely
21 reliable. We see that in the latest editions or
22 editorials of Dr Weetman that he also changes position.

23 So Dr Skinner is not the only one who treats
24 clinical hypothyroid biochemical euthyroid patients.
25 All UK endocrinologists treat euthyroid patients with

1 thyroid hormones if they have nodules, goitre or thyroid
2 cancer. I don't think they treat all the patients with
3 nodules but many of those.

4 There's about 200 physicians who have already signed
5 the very recent, just two or three months ago, written
6 consensus. There seems to be the study that Dr Skinner
7 did --

8 Q. I'm going to ask you to ignore that because that is
9 Dr Skinner's study, and it has found its way into your
10 report. The Panel will ignore that.

11 But we come on to your society.

12 A. So the International Hormone Society has made that
13 contents, more than a thousand members, and can say you
14 when we asked them to sign that sort of paper, they
15 signed, because it's reasonable and you can look up the
16 high number of references that references this on the
17 International Hormone Society website, you don't even
18 have to give the address, you just type International
19 Hormone Society on the website.

20 So many patients also support the approach of
21 Dr Skinner. I have signers of the -- there's a patient
22 petition, that I didn't do, that the other doctors of
23 the hormone society didn't do, but that they did, they
24 have signed also a paper putting up the importance of
25 being treated. But I didn't do any part, I didn't even

1 correct it. So it's really written in patient words.

2 At least 80 scientific studies back up the treatment
3 of many patients and we'll see those 80 studies -- well,
4 we have seen actually most of these studies, I just have
5 to mention that there are other studies that we will see
6 where in other conditions than thyroid nodules also
7 patients are treated. So it's not true that there's
8 just one study on treatment of biochemical
9 hypothyroid -- no, clinical hypothyroid biochemical
10 euthyroid patients.

11 So this is the third biggest endocrine society in
12 the world, and it will grow very quickly, I think now,
13 because there's a doubling of the number of congresses
14 and they will also increase in size and it's mainly
15 other congresses that doctors sign those papers.

16 The opponents of thyroid treatment of clinical
17 hypothyroid and apparently biochemical euthyroid
18 patients say there's no evidence, there's one study and
19 it is seen in double blind placebo control say it does
20 not work.

21 Q. Is that the Stobhill, the Pollock study?

22 A. Yes, the Pollock study. I think published in the
23 British Medical Journal.

24 Q. The Panel have heard about that study.

25 A. Is this true? There's no evidence.

1 So studies that show that it has no effect, that's
2 one study, the Pollock study. You have heard about
3 that.

4 But it only -- well, it checked the cognitive
5 function and psychological wellbeing. It doesn't seem
6 to have -- but there's other things you can check, it
7 maybe it would have improvement.

8 And then the big problem of this study: was it made
9 with the right medication? Thyroxine alone, the
10 precursor. Why not give also active hormone?

11 Here are a series of studies, generally double blind
12 placebo controlled, where it has beneficial effects, and
13 this is known by psychiatrists, treating patients who
14 are resistant to antidepressant, they give some T3 and
15 then it works. Probably because those patients have
16 depression, partially or totally because of
17 hypothyroidism but it works, the combination.

18 So those studies, this is very known, sometimes
19 you have old studies, 1990, but there's 1984, but also
20 2002, et cetera. It's still a practice going on.

21 Also there's a study that showed that within the
22 reference ranges, when you give thyroid hormone to
23 people with high cholesterol, if -- and the only
24 condition -- their TSH is above 2, you reduce their
25 cholesterol with thyroid medication. If it's under the

1 2, they probably have enough thyroid hormones, you
2 cannot reduce the cholesterol at a small dose of
3 50 micrograms. Usually that's a small dose for
4 a patient.

5 Here are studies where thyroid hormones are given in
6 euthyroid patients but who have nodules and goitres, so
7 there's literature enough. I don't mean enough, it's
8 never enough. It's always good to do other studies and
9 bigger studies, et cetera. So we get more data. But is
10 this a reason that there's only maybe 20 or 15 smaller
11 studies that you may not treat? That you have to wait
12 until there are 200 studies?

13 I will let you yourself evaluate that situation.

14 Opponents of thyroid treatment reinforce their
15 arguments by saying: look at what happens with
16 subclinical thyroid diseases. The subclinical patients
17 have no pathology, they have high TSH, and when you give
18 a treatment it does not work. Is this true? This is
19 not true.

20 Q. Can we just go back to the previous slide because
21 I don't think we have it in the photocopies that
22 we have. Give the Panel a chance to read it again.

23 A. It says that the two arguments reinforce that you should
24 not treat patients with clinical hypothyroidism but no
25 lab test that confirmed that, because subclinical

1 hypothyroidism, which is normally a more severe form of
2 laboratory thyroid dysfunction, it does not work, it's
3 not associated with disease parameters and it would not
4 help to give thyroid hormones because there are studies
5 that show it doesn't work. Okay?

6 They are right, there are some studies that show no
7 relationship between disease and that treatment doesn't
8 work, but it's a minority of studies, a small minority,
9 not a big minority. Small.

10 So the facts there are many, I would say several
11 studies, but still quite a number, that show that
12 there's an association if you already have a high TSH,
13 you already have disease parameters that can be checked
14 and when you give the treatment, you improve these
15 disease markers.

16 So what the expert who is not here said is not true.
17 And you see that these studies tend to confirm that
18 there's arguments against treatment. You would
19 overtreat the patients. But then in the same studies,
20 it says both results you could minimise by educating
21 well your patient, by saying: look, if you have those
22 symptoms, you should decrease the dose, and try to get
23 a TSH that is not necessarily suppressed, but we will
24 see there are some exceptions to that.

25 Here is a test that shows there's no improvement and

1 here are controlled trials, two, no relevant
2 improvement, so that would tend to reinforce what has
3 been said.

4 Q. Can you pause so that the Panel can read them because
5 I don't think it has been photocopied?

6 THE CHAIRMAN: I don't know about anyone else but I find it
7 slightly difficult to read. If you want us to absorb
8 it, you will have a to read it.

9 A. What is written here is, one of the titles is, "T4
10 treatment does not improve clinically hypothyroid
11 patients who have normal tests". That's the Pollock
12 study, I took it again here.

13 It's not subclinical hypothyroidism disease but
14 I would like to reinforce the arguments of the others
15 just to show that there is a disproportionate (inaudible
16 word).

17 Controlled trials, it's normally placebo controlled,
18 that means that some patients get a treatment, the
19 others do not, they get a sort of fake treatment. So
20 they don't know that they are having a fake treatment.

21 So there is also no relevant improvement in those
22 who have high TSH and the rest of the lab tests are
23 normal. It does not improve. So there are two studies.

24 But when you look at the dose, the target, they try
25 to get the TSH, in those who had a TSH of 8 under 5.

1 The outdated reference range. Upper limit.

2 They did not try to get it under 3 or 2, and that is
3 essential. To get improvement with thyroid treatment at
4 least that sort of type, you need to get under the 3.

5 MR JENKINS: Could you go back one page? I think we have
6 moved on.

7 A. This is the information, the target is under the 5,
8 that's a too high target, you should go on the lower to
9 get improvement, and there are studies to show that.

10 So this is just arguments that it's necessary to
11 treat because when you have subclinical thyroid disease
12 you will probably get an aggravation of the problem and
13 really get a clear hypothyroidism later on. There's
14 5 per cent per year who will at least be getting to
15 overt -- 50 per cent of the patients who have a high TSH
16 will get a overt hypothyroid with low thyroid hormones
17 in ten years later.

18 Q. Slow down, if you would.

19 A. Here are all studies that show, and it's too small to
20 read, of course.

21 Q. What you're showing us are authors, papers?

22 A. There are 19 studies here that show when you have a high
23 TSH, there are abnormal factors, you have a high
24 cholesterol, you have aging of the coronary arteries, so
25 the arteries that supply blood. You have abnormal

1 clinical reflexes, et cetera. So there are other
2 abnormalities linked to the high TSH. If you don't have
3 a high TSH it's normal, you don't have those
4 abnormalities.

5 There are studies that show that there's little
6 benefit of T4 therapy if the TSH is only put into only
7 the range of 3 to 3.5. You have to be under 3 to get
8 improvement. That means everything that above 3 is
9 probably not a good range, also not for a person who is
10 not treated with thyroid hormones.

11 You improve lipid, cholesterol abnormalities and
12 triglycerides and cardiovascular function. The heart
13 pumps better when you get under the 3 of TSH.

14 If you don't do it under, it's above, it doesn't
15 work.

16 Here's a number of studies that show adverse
17 psychological effects. I think we saw it in another
18 slide. You have adverse physical and psychological
19 effects if you get this sort of high TSH. So it's not
20 normal to have a high TSH.

21 Here are controlled trials, that means double blind
22 placebo controlled, the best quality studies, that show
23 there were, I believe two control studies that showed no
24 effect, but no effect on the parameters that were
25 checked. That doesn't mean it would have no effect or

1 nothing.

2 And here they say it has effect. Your heart pumps
3 better when you give thyroid hormones to those who have
4 subclinical hypothyroidism because the heart functions
5 less well in that situation. Lipids are better, here it
6 was mostly -- and even ... Well, on the skin some
7 collagen -- content of the skin was better.

8 Here again, improvement. So there are studies, it's
9 not true what has been said. It is partially true, but
10 for a minority of the studies, and I don't know why only
11 that evidence was given.

12 I have no judgment to give. I know there's a sort
13 of hot debate between some physicians here and the
14 British Thyroid Association, but I think we should
15 always be balanced in our expertise.

16 How did Dr Skinner apply his diagnosis of
17 hypothyroidism to the patients A and D?

18 Q. You go on to analyse each of the patients I think.

19 A. Yes. We are here on the diagnostic procedure to see if
20 he does wrong diagnosis.

21 MR KARK: Sorry to interrupt, but before we move onto
22 another topic, the screens we have here, we went do here
23 I don't think.

24 MR JENKINS: We will copy them. But I think they're still
25 on Dr Hertoghe's computer.

1 MR KARK: If we could have them today.

2 MR JENKINS: I'm sure we could arrange that.

3 Dr Hertoghe, I know you have analysed Dr Skinner's

4 treatment of these patients in the report that we've

5 already given to the Panel?

6 A. But that's later. It's only diagnosis here.

7 Q. I'm just wondering if we need to do it twice. Do

8 we have the same information in your report?

9 A. The information is in the report, yes. It's like you

10 want. We can do it now, but because I give the

11 explanation it's maybe interesting at least so see one

12 patient case. I don't know what the Panel wants.

13 Q. Let's keep going with what we're doing.

14 THE CHAIRMAN: Mr Jenkins, I think we need to be as time

15 efficient as we can, so whatever you think is best.

16 MR JENKINS: I agree, and it may be helpful for the Panel to

17 look at the same time at the back of Dr Hertoghe's

18 report. I'm starting at page 26.

19 A. It's exactly the same as in the report.

20 Q. Because you have more information on the page than you

21 have on the slides.

22 If you turn to D13, it's headed medical legal

23 report. Page 26 takes us to Patient A where we

24 presently stand.

25 THE CHAIRMAN: This is D13, page 26?

1 MR JENKINS: It is.

2 I think in the slides and in your photocopies you
3 should be at page 89.

4 A. So we have here a patient of 43 years, and when
5 analysing the files and medical records, I found the
6 following symptoms that were written down, are typed,
7 that can make you think that there's probably
8 hypothyroidism in the past.

9 Rheumatic fever, there's easier [sic] rheumatic
10 fever and other forms of infection in the immune
11 deficiency of thyroid deficiency.

12 Postnatal depression, I saw a certain number of
13 patients having postnatal depression improve when they
14 went on thyroid therapy.

15 Long, heavy irregular periods. We have seen that
16 in the beginning with the symptoms, that you can have
17 the ovaries not working well. Also heavy periods is due
18 to the fact that in low thyroid deficiency there's
19 a lack of coagulation factors, and thyroid hormones
20 improve.

21 No family history of thyroid disease. So it's not
22 typical, there's no person treated, no goitre.

23 There's depression, fatigue, can be linked
24 directly -- not on its own but with constipation you
25 have to think. Constipation since her teens, recurrent

1 sore throats, dyspepsia. Recurrent infections is really
2 a symptom to think about.

3 Medical history, was suggestive of hypothyroidism,
4 and this is also integral part of diagnosis. Because it
5 is already since long you have this sort of problem,
6 it's a more severe thyroid deficiency problem because it
7 will only aggravate in time.

8 Then there's the clinical exam, but that clinical
9 exam includes the fact that you take a listing of all
10 the complaints.

11 There's six years of extreme fatigue, tired all the
12 time.

13 Constipation with predominant irritable bowel
14 syndrome. It's more the constipation than irritable
15 bowel syndrome due to low thyroid function.

16 And the joint pains. Arthralgia is joint pains, but
17 a special sort of joint pains, it's diffused all over
18 because it's myxoedema in all the joints.

19 Then the physical signs that were noted in the
20 examination were: hoarse voice, loss of external third
21 of the eyebrows, it's also called in the French language
22 the sign of Dr Eugene Hertoghe, my great grandfather
23 because he was the first to see that. And you find that
24 still in the French medical dictionaries.

25 Also the syndrome of mild thyroid deficiency is

1 called Syndrome of Dr Eugene Hertoghe. So I had no
2 choice but to do another profession.

3 The pulse rate is slow, the heart rate is slow,
4 that is typical for low thyroid function, and it's also
5 a special form, you don't here it so much. I don't know
6 if it was this case, but probably will, bradycardia is
7 very typical. Cracked heels, fissures in the heels --
8 heals very well with giving the thyroid hormone.

9 Q. Fissures?

10 A. Likes cracks.

11 Yellow tint of the skin, why? Because in low
12 thyroid function it's yellow on the hand palms, at least
13 in severe cases, certainly at the foot soles, much
14 easier, because the keratin that we eat in the food that
15 is the provitamin A does not convert to vitamin A
16 easily, so they have vitamin A deficiency and excess of
17 keratin, and you see that in physical examination with
18 yellow foot soles, yellow hand palms.

19 Fibrocystic diseases often due to a sort of
20 progesterone deficiency, deficiency in one of the female
21 who were hormones. Women who are thyroid deficient they
22 can not ovulate well, they will not make enough
23 progesterone that is protective against fibrocystic
24 disease. So cyst in the breast.

25 So these were all conclusive for hypothyroid

1 symptoms. Let's look at the lab test. TSH was in the
2 reference range, if she has no thyroid nodule, it's
3 acceptable. But the T4 is 12.2 in the lower quartile,
4 where you have increased risk of memory loss, of mental
5 disability, and lower intellectual development in
6 children.

7 Metabolic syndrome features, like fasting, high
8 sugar level in blood, blood pressure is higher, obesity,
9 et cetera, so a patient with this sort of T4 is more
10 likely to have disease.

11 Also lipid abnormalities, abnormal cardiovascular
12 risk markers means that the CRP can be higher, so you
13 can have signs of premature atherosclerosis, and
14 coronary heart disease is more frequent in this case,
15 chronic heart failure.

16 Patients have usually lower levels so there's
17 associations with it. Breast cancer can be more,
18 et cetera, mortality, et cetera. So also if there was
19 pregnancy but she's getting a little old to get
20 pregnant, not totally, but 43 gets a little bit limit.
21 But if there's a pregnancy it is a problem for the baby.

22 So this is not a healthy value. So in this case
23 because you had the four different approaches for
24 diagnosis, were all tending to suggest hypothyroidism,
25 I think a therapy trial, a trial with thyroid hormones

1 was justified on one condition, of course, it's done
2 very, very slowly. On small doses, increased.

3 It's justified because of the medical history, the
4 signs and symptoms, the lab test, T4 lower quartile, and
5 also it was justified after, we will see later when we
6 talk about the treatment, the patient -- it made a huge
7 difference for the patient. Remember this euphoria
8 that is said by Dr Weetman, it's not caused by thyroid
9 hormones, it's just that the patient is finally relieved
10 of her symptoms.

11 What do hormones give? Hormones do not change your
12 personality. They don't make you different. If you're
13 adequately dosed, they do not. But they free you from
14 parasite emotions and feelings. If you feel always
15 tired, they take that away. If you feel always
16 depressed, they can take that away, if it's the adequate
17 treatment, of course. If not, then the therapy trial
18 doesn't work, it doesn't confirm the diagnosis.

19 Here it rather confirms. I would say very much.
20 And although the patient was not very positive to
21 Dr Skinner -- and even Professor Franklyn saw some
22 things had improved, the better bowel movements and when
23 she checked the patient on the therapy, she did not find
24 a sign of hypothyroidism, so it was probably an adequate
25 treatment.

1 Q. Before we go to Patient B, can I take you to your
2 report.

3 A. Yes.

4 Q. We have taken the Panel to it. Page 26. If we stay
5 with Patient A and look at your views. We will return
6 to the main body of your report soon, but if we can deal
7 with this patient and conclude your observations on her.

8 A. What I had propose assisted I had see the treatment --
9 after there are slides -- after on the treatment and
10 I grouped the treatment together. It might be more
11 interesting, otherwise it might be too much.

12 Q. Can I suggest this then, that we move beyond Patient B,
13 C and D in your slides, and come back to do each patient
14 just once?

15 A. Okay. I'll do Patient A now.

16 Q. Well, I was going to say, if you want to do treatment
17 after looking at the rest of your slides.

18 A. I think it's wiser to do so, because otherwise it will
19 get a little complicated. You need some information to
20 understand the conclusions.

21 Q. Let's not do the patients in your slides, but come on to
22 treatment in your slides. Then we'll do the patients
23 once each, rather than doing them twice each.

24 A. Is that good for you?

25 Q. It's what I'm suggesting, so it's good.

1 A. All right. What I'm suggesting is that Dr Skinner had
2 an acceptable approach in his diagnosis of
3 hypothyroidism and this is a neutral position, it is
4 based on the way I teach, and the way I have learned.
5 Apparently, at least for Patient A I have shown
6 this.

7 Q. We will come back to B, C and D and the approach that
8 Dr Skinner took. We'll come back to that when we look
9 at your report.

10 A. What is clear is that there is solid evidence. It is
11 never enough, but it's already interesting and very
12 important evidence, and that these reference ranges are
13 not necessarily the healthy ones. We have to have
14 narrower reference ranges, and even then we still have
15 to be careful because some patients could have in the
16 cells a lower function that is expressed in the clinical
17 symptoms.

18 Q. So just dealing with what you've said at the bottom of
19 that slide, there is solid evidence --

20 A. Yes.

21 Q. -- meaning there is solid evidence the patients may have
22 disease even though their thyroid test levels are within
23 the reference range?

24 A. Yes. And I'm ready to challenge that at any moment.
25 I'm a little bit deceived [sic] Dr Weetman is not here,

1 but I don't think there is any evidence to counter it
2 for the moment.

3 Q. Let's move on.

4 A. Allegation 2.

5 THE CHAIRMAN: Can you just get us back on what document you
6 are on and what page.

7 MR JENKINS: Back on the slides, we have just looked at
8 page 95 at the bottom of that page, and we're now going
9 to page 96.

10 THE CHAIRMAN: Thank you.

11 MR JENKINS: Again, we will ensure you get the outstanding
12 slides or certainly Mr Kark does within the day. It may
13 be that we do not get much beyond examination-in-chief
14 of Dr Hertoghe today. Mr Kark will certainly have them
15 overnight, which I think is his concern.

16 Allegation 2, Dr Skinner provides the wrong
17 treatments for hypothyroidism. That's the suggestion
18 that you're analysing.

19 A. Yes, and two arguments, that only thyroxine alone is
20 needed, there's no need for another one, and that T3 and
21 T4 combination or dessicated Armour Thyroid are not good
22 products.

23 So actually you have to go to another.

24 Q. You have another document, I know.

25 A. And has it been distributed or not?

1 Q. It's just about to be.

2 THE CHAIRMAN: This will be D17.

3 MR JENKINS: Thank you very much.

4 I'm going to suggest a summary of what this contains
5 and invite you to agree it. Your view is that
6 thyroxine, T4, may be suitable for a large number of
7 patients, but that there are other preparations which
8 are better for some patients, including giving T3 and
9 T4, or giving the dessicated natural version --

10 A. Yes.

11 Q. -- armour Thyroid.

12 A. That's it.

13 Q. And --

14 A. What I can suggest, for instance, is to show maybe
15 a fourth of the slides and give additional information
16 to them. What do you think?

17 Q. I don't know that it's right at the heart of the matters
18 the Panel have to consider and there was clearly a lot
19 of material that the Panel may need to consider if they
20 do need to go into the detail of it, but if there's
21 a way of short circuiting it, I think given Mr Lynn's
22 answers to me the other day, it may be that we don't
23 have to get too deeply embedded into arguments about T4
24 as against T3 and T4 as against Armour Thyroid. But you
25 say there are arguments for adjusting treatment?

1 A. Yes.

2 Q. Depending on patient response?

3 THE CHAIRMAN: Can I just suggest -- am I right that this is
4 your expert witness opinion about the T3 and T4.

5 A. Yes.

6 THE CHAIRMAN: And Armour Thyroid. It can be used as
7 alternative and very valuable treatments.

8 A. Yes.

9 THE CHAIRMAN: So that's a summation of what's in --

10 A. There should be a lot of possibilities for patients and
11 the different treatments should be available, and
12 possible to treat following the experience of the
13 physician.

14 THE CHAIRMAN: Right. That's good. So shall we take
15 Mr Jenkins's suggestion and agree this, if Mr Kark is in
16 favour of that?

17 MR JENKINS: It is an issue, madam, if I can address the
18 Panel directly. Mr Lynn said to me the other day that
19 if it was appropriate to treat patients for
20 hypothyroidism he was less concerned about the mode of
21 treatment, whether it was T4 or T3 and T4 or Armour
22 Thyroid. That's the thrust of the answers he gave.

23 In those circumstances, I don't know that we need to
24 get heavily involved in which form of treatment might be
25 appropriate once you have got over the stage of agreeing

1 that it is appropriate to treat patients. The two
2 central questions, as I see them in this case,
3 are: what is appropriate for Dr Skinner to treat any or
4 all of the patients, A to D? And if it was appropriate
5 for him to treat them, how did he manage them?

6 I don't think we are involved greatly in discussions
7 whether Armour Thyroid as against T4 or T3 and T4 were
8 relevant.

9 But I think Mr Kark does persist in concerns about
10 the use of Tertroxin, amongst others, or the
11 prescription or suggestion for some that Armour Thyroid
12 might be appropriate. So I think I do need to go into
13 this in some detail, but I hope to reduce it as much as
14 I can.

15 THE CHAIRMAN: Mr Kark, do you have comment?

16 MR KARK: Not specifically with that. I think the focus is
17 more on the change of dosage without there being
18 adequate monitoring, and adding T3 into the system
19 without adequate monitoring or blood tests. I think
20 that's more the focus of the charge.

21 MR JENKINS: I'm grateful.

22 THE CHAIRMAN: Thank you.

23 MR JENKINS: Do you want to take us through some of the
24 slides? You said look at about a quarter of them.

25 A. I propose to go to some of the slides because they'll

1 give an idea that apparently it's quite safe to do and
2 it's even safer because the patient improves more.

3 THE CHAIRMAN: We will break around 3.15, if that will work
4 into your slides. Thank you.

5 A. So just showing you that there's also a problem with T4
6 that has not been talked about here, is that the
7 absorption of T4 is irregular.

8 THE CHAIRMAN: Just remind us where you are.

9 A. I think it's page 7.

10 MR JENKINS: 6.

11 THE CHAIRMAN: Thank you.

12 A. So these are studies where the absorption varies between
13 41 per cent and 46 per cent, and 73.5 per cent. That
14 means that you're not 100 per cent sure when you take T4
15 if it's going to be totally or partially associated.
16 That depends on -- so one of the reasons is to take it
17 before breakfast, try without eating. But this you do
18 not have with T3.

19 So patients who take a preparation that contains T4
20 and T3 are more sure to have thyroid hormones in their
21 blood and in their cells than the ones who take T4 where
22 the absorption could be irregular.

23 MR JENKINS: Could I stop you there. T4 is taken as an oral
24 preparation, it's a tablet.

25 A. Yes.

1 Q. It's swallowed and absorbed through the intestine.

2 A. Irregular. 50 per cent across the model to
3 three-quarters of it is absorbed.

4 Q. What that studies shows and what you're showing us on
5 that slide is that the absorption rate of T4, when taken
6 as a tablet, may be unpredictable?

7 A. May be unpredictable, which is not the case with T3, for
8 instance, where you have a 95 per cent absorption. So
9 almost everything of T3 is absorbed but not of T4.

10 The other study showed -- and this is only one of
11 the factors that can influence -- in what sort of tablet
12 it is put in. If there's lactose or other fillings, it
13 will change the whole absorption.

14 Q. We remember again that if you take T4 or if it's
15 secreted by the thyroid, it's then converted into T3
16 which is the active chemical acting on the tissues.

17 A. What we'll see also but very briefly is that a person
18 cannot convert T4 to T3 if they do not have enough T3,
19 and this may explain why patients who take T3 feel so
20 much better because finally their own T4 they produce is
21 better converted into T3.

22 There's legal problems with T4. The firm that made
23 the most -- sold T4 had to pay a lot of fine because it
24 didn't do its work very good.

25 This is an important slide because it not been

1 tested by any other study.

2 Q. Let's find it for a moment. Are we on page 11?

3 A. It's page 12 and 11, yes. So here the rats had their
4 thyroid function was blocked, so it could not produce
5 any more by high anti-thyroid medication. So they could
6 not produce any more thyroid hormones, and they had this
7 sort of levels in the tissues, T3 and T4. It's too low.
8 You have to be in this range, the blue range that you
9 see here.

10 So when T4 alone was given, never in the tissues,
11 in the spleen, adrenal glands, or the ovaries or in
12 other tissues could they have enough T3. So only
13 supplying T4 permits not to have enough T3 in the cells
14 which is fundamental to have a thyroidism.

15 So if a patient would not make any thyroid hormones,
16 T4 would never be adequate enough or you'll have to give
17 very high doses.

18 Q. Can I stop you again.

19 A. So you see here, in red. Here in yellow those are
20 levels of T4 at 0.80 micrograms per kilo or something
21 like that and -- per 100 grams I think, and here he had
22 0.90, so he had a higher dose of T4, that's okay. They
23 always had enough T4 in the tissues but never had enough
24 T3 in the tissues that's in red, it's too low, the
25 value. So the higher the columns are, the more thyroid

1 hormones they have.

2 T3 here is in red, it's always too low when T4 alone
3 is given. In the spleen is the adrenal gland. So we
4 can take the spleen away and you can still live on.

5 If the take the adrenal glands away, they don't work
6 because there are not enough thyroid hormones, the
7 person will not be able to survive.

8 So adrenal glands are essential for survival. So
9 having not enough thyroid hormones there, will make that
10 they do not work enough.

11 Ovaries, you can take the ovaries away, the woman
12 can still continue living but not a fantastic quality of
13 life.

14 As soon as you give a small dose of T3 added, you do
15 get adequate levels here in the blue levels, of T3,
16 depending on how high you are, but usually you get
17 adequate levels and always the same. A small dose can
18 already be sufficient.

19 Q. Can I stop you. If we look at the top graph to the
20 left, extreme left. We're looking at --

21 A. Total thyroid deficiency.

22 Q. An animal that is given no therapy at all.

23 A. But also a therapy that blocks the secretion of
24 thyroid hormones.

25 Q. Right. And the little yellow column that we see is the

1 T4 level in the blood.

2 A. Yes. And in green and in red are T3 levels. I put them
3 in green when they were adequate, I put them in red when
4 they were not adequate.

5 Q. I understand. So we can see for the various charts,
6 staying with the top one --

7 A. Even if you increase the does of T4, you do not get
8 adequate levels of T3, never in the study.

9 Q. So when you increase the levels of T3 and T4 --

10 A. You add a little T3 to the preparation, you do get
11 adequate levels. This explains why endocrinologists
12 say: no, I just looked at T4 and TSH and those patients
13 with thyroxine are good, they feel good, and the patient
14 says: doctor, I don't feel good; you see that on the
15 Internet. You see testaments: I have never felt good
16 a thyroxine, now I'm on a T3/T4 combination I finally
17 feel better.

18 It is possible because they are not able to have
19 enough T3 in the cells.

20 Q. I think your next chart shows --

21 A. It's a bit the same.

22 Q. -- heart, muscle and lungs.

23 A. Same in the heart, same in the muscle, same in the
24 lungs. Without a heart, if it does not work well, you
25 cannot live. Without the muscles you cannot move.

1 Without the lungs you cannot breathe. So in essential
2 organs you need to have enough thyroid hormones.

3 This is one of the studies that show that when you
4 add a small dose of T3, you decrease the T4, but add a
5 little T3 you have better results. The patients had
6 less fatigue, less depression, less hostility. There
7 are not many studies like this, because this is a double
8 blind placebo controlled study, but this study does
9 exist.

10 THE CHAIRMAN: Do we have this in our papers?

11 A. You should have that normally, yes. Sorry, I should
12 explain this to you.

13 MR JENKINS: Page 19.

14 A. Also patient's sadness was decreased, even irritability
15 was decreased, angriness and tenseness.

16 Q. Can we go back to the previous study so that we know
17 what we're looking at? These are measures of patients'
18 moods.

19 A. Yes.

20 Q. Looking at measures of patients' moods --

21 A. Yes.

22 Q. -- looking at various --

23 A. And energy levels.

24 Q. -- aspects of mood.

25 A. Yes.

1 Q. And they are less marked when you give T3 as well as T4.

2 A. Yes.

3 Q. And we are looking --

4 A. You see the fatigue, for instance, is decreased.

5 Q. Indeed.

6 A. And depression is decreased.

7 Q. Anger and hostility --

8 A. By a small change. So normally the total dose

9 equivalent is the same, but they improved.

10 Q. The next chart that you showed us were other aspects of

11 the same thing: sad, tense, irritable and angry,

12 patients given combined therapy, thyroxine and the T3

13 preparation. They are less sad, less tense, less

14 irritable --

15 A. Even less fearful, less confused.

16 Q. And less angry.

17 A. Small change.

18 Q. Thank you.

19 A. 12.5 micrograms of T3. Very, very small dose.

20 Sleepiness was decreased, et cetera.

21 Many of the studies that have not worked might not

22 have given a sufficient dose of T3.

23 And study 2 is a study I did myself. I'll just show

24 two or three things. But I switched 91 patients from

25 thyroxine treatment alone, which came to my

1 consultation, they said: I don't feel good on this
2 thyroxine.

3 Although many of those patients had -- if they
4 increased the dose, they could not tolerate it, so they
5 couldn't go on a higher dose. So it was not the dose
6 that was the problem.

7 Most of those patients were overt hypothyroidism.
8 They had thyroid taken away, had radioactive iodine or
9 something like that. So they were treated with
10 thyroxine by other physicians before they came to me.
11 So another person made the diagnosis.

12 For fatigue, for instance, by switching them over to
13 an equivalent preparation that they tolerated better, so
14 the doses were also slightly higher, they became either
15 symptom free, they didn't have the fatigue any more, or
16 they improved, and -- it's not put here -- mainly very
17 much.

18 Q. So what we can see is that 98 per cent of those patients
19 of the 91 that changed from T4, 98 per cent improved or
20 became symptom free?

21 A. Yes.

22 Q. And you've shown us that about 20 per cent became
23 symptom free looking at fatigue.

24 A. Fatigue is the symptom that is best relieved with
25 thyroid hormones, at least the mental symptoms.

1 Depression is also 90 per cent were improved. So
2 you can imagine my surprise, when I started treating
3 patients, how much depression was much less of a problem
4 when I started to treat hypothyroidism in patients who
5 were depressed.

6 MR JENKINS: Madam, it is quarter past. I will stop
7 whenever you would wish.

8 THE CHAIRMAN: I think if that is appropriate --

9 MR JENKINS: It isn't quite --

10 A. Maybe I'll just finish this study. If it's okay for
11 you.

12 THE CHAIRMAN: Finish the study.

13 A. So also for coldness, swelling of face, physical
14 symptoms were improved.

15 Slowness, 79 per cent had improvement, 21 per cent
16 no effect, but nobody was worse.

17 Constipation, 75 per cent improved, just by
18 switching the type of medication.

19 Joint pains, 70 per cent had joint pains -- no this
20 is the person's age, so 35 per cent had joint pains, and
21 they improved with the T4 and T3 treatment.

22 Dry skin, nocturnal cramps, is the symptom that
23 improves the most as a physical symptom. Cramps in the
24 legs because the blood circulation is not good in
25 hypothyroidism.

1 So overall improvement, 86 per cent improvement of
2 the symptoms and only 2 per cent worsening. 1 or
3 2 per cent.

4 Thank you.

5 THE CHAIRMAN: We'll break until 3.35.

6 (3.18 pm)

7 (A short break)

8 (3.35 pm)

9 THE CHAIRMAN: Ready when you are.

10 MR JENKINS: We've dealt with two studies. Coming to the
11 third one.

12 A. I am just going to show some slides because there's so
13 much. This is not the most beautiful slide, but it
14 shows that when you compare preparations with T4 or
15 Cytomel that has only T3, Tertroxin here, you can lower
16 the cholesterol more with Tertroxin, with a competitive
17 dose than with preparations having synthetic T3 and T4
18 with too small dose of T3 than with thyroxine. So
19 thyroxine does not work well to decrease the
20 cholesterol.

21 The dessicated Armour Thyroid works quite well
22 compared to synthetic T3 and T4 preparation.

23 Also compared in other -- that I don't have here --
24 slide, it decreases more the cholesterol. One of the
25 reasons is that the dessicated thyroid actually has --

1 T3 is bound to bigger protein, named thyroglobulin, and
2 it's likely that the absorption is slower because it's
3 more, and so to separate the T3, the body separates the
4 T3 from this bigger molecule, thyroglobulin, it does the
5 slowly, so there is a 24-hour provision of
6 the treatment. And this is maybe one of the
7 explanations why dessicated thyroid may work better than
8 T4 alone or T3 and T4 synthetic preparations.

9 Q. We are on page 29, I think, of the slides.

10 A. If we go a little bit forward, I wanted to show you this
11 slide. In patients with rheumatoid arthritis, for
12 instance, they are more likely to have thyroid
13 dysfunction and hypothyroidism.

14 Q. Page 35.

15 A. The next slide I wanted to show you it was a review of
16 all the studies where thyroid hormones were used in
17 patients who had rheumatism. Rheumatoid diseases. You
18 see that generally in all the studies, they there were
19 older studies, they had a complete or almost complete
20 efficacy, almost all patients improved with thyroid
21 medication on joint pains.

22 Then suddenly recent studies have then less and less
23 effects, but actually the recent studies are done with
24 thyroxine, and the others were done with T3, T3/T4 or
25 dessicated thyroid preparations. Just one more clue

1 that there might be a necessity to have preparations
2 with T3 included.

3 For cancer, T3 is very important, I'm going to skip
4 that.

5 Just to show you that patients who have a low free
6 T3 --

7 Q. Page 39.

8 A. And all patient who is take only thyroxine have a severe
9 deficiency in thyroid hormones and take thyroxine only
10 may have a low free T3.

11 If cardiac patients have that, they die easier,
12 there is less survival, and the mortality is increased
13 by about four or five times more mortality if your T3 is
14 too low.

15 You don't find many studies with a low T4 linked to
16 mortality as much as studies that show a link between
17 a low free T3 and mortality, so it seems to be the
18 critical factor for survival.

19 Q. I'm going to ask you to slow down, because your talking
20 too fast for some of us to take it in. I don't mean the
21 stenographer, I mean those who are listening and trying
22 to understand.

23 A. Okay. If I show here you have heart patients.
24 One-third of heart patients have a low free T3, and
25 those who have a low free T3, so it's under the low

1 reference range, will have four to five times increased
2 risk of dying because of their low free T3.

3 Dying by heart disease it's about five times more.

4 Q. It's five times, isn't it?

5 A. Yes. So that means that we probably have to pay
6 attention to T3 much more and specifically in the
7 medications.

8 Q. Right.

9 A. To imagine how important it is, we know all that is
10 important to have good lipids in our blood, and if the
11 lipids are too high, it's a critical factor, you might
12 get more death in cardiac patients, but the T3 is even
13 much more important for cardiac patients because when
14 the T3 is too low, this is a more important risk factor
15 than the lipids.

16 Q. Take us on to conversion, if you would.

17 A. So here's a number of studies that show that the
18 conversion's lower when there's iron deficiency, for
19 instance. On the average when a woman is iron
20 deficient, she has a 22 per cent lower T3. Selenium
21 deficiency, iodine deficiency, all can give a slow
22 conversion.

23 How many women are not iron deficient? Iron
24 deficiency is one of the most frequent deficiencies,
25 it's much more than the 2.5 per cent of the reference

1 ranges for iron.

2 Zinc also, zinc deficiency gives us slower
3 conversion. Also a number of toxins can -- if you have
4 too much cadmium -- you could find that in the foods
5 sometimes -- you slow down the conversion and many other
6 hormone deficiencies. Male hormone deficiencies, men
7 who age, for instance, they have a slower conversion of
8 T4 to T3 because they have less male hormone.

9 Q. Again, remind us. If there is poor conversion of T4 to
10 T3, what's the consequence of that?

11 A. If there's a poor conversion, the consequence is that
12 there's a lower levels of T3, also in the tissues,
13 in the cells.

14 Q. But if we measure T4 in the blood or TSH --

15 A. You cannot see that there's a poor conversion. A person
16 can have a high T4 and still be thyroid deficient
17 because there's not enough T3, and this is much more
18 frequent than Dr Weetman or the other expert thought.

19 If you're in an stress situation, for instance, you
20 can decrease your conversion of T4 to T3, because
21 express makes a lot of cortisol and that decreases the
22 conversion.

23 Q. We know that Dr Skinner was writing to one or more GPs,
24 with patients A to D, suggesting that there may be poor
25 conversion from T4 to T3, and saying that he still

1 believed that the patient was hypothyroid.

2 A. That's a plausible and credible explanation. It's
3 a frequent explanation also.

4 Q. Frequent explanation?

5 A. When we give, for instance, iron to a woman who is iron
6 deficient and has a poor conversion she gets warmer
7 hands, warmer feet because her T3 goes up, but sometimes
8 it's not sufficient to give the iron that corrects the
9 iron deficiency, corrects this conversion, because there
10 are a lot of other factors.

11 You see a lot of hormone factors. When there's
12 lower growth hormone, at the age of 30 years we decrease
13 much in our growth hormone and that gives a sort of more
14 important aging, collapsing of the body, and growth
15 hormone is the most potent hormone to increase the
16 conversion, and adults need growth hormone. So when
17 they become growth hormone deficient, they have a poor
18 conversion.

19 What is very important, and it's not well written,
20 so I'll have to correct it here because it's too
21 important, is that the T3 itself increases the
22 conversion of T4 to T3, since you need the minimum
23 amount of T3 to increase the conversion.

24 We saw this in a previous slide, where we saw that
25 the tissue levels of T3 were too low if the rats there

1 did not receive some T3. If you get a small dose of T3,
2 all the levels of T3, if it was higher or lower, the
3 amount of T3 you give were about the same because the
4 conversion was improved by the small dose of T3.

5 Women who take a birth control pill, they slow down
6 the conversion. The hormone causes to slow down most
7 the conversion. That is why women who take the pill
8 often get colder hands and colder feet, and drier skin,
9 and have more weight problems.

10 Q. Right. Take us on, if you would, to the next slide.

11 A. That's the study I did that was published.

12 Q. This is an article you wrote in a book.

13 A. Yes. Many conditions relate to attributes(?), the
14 conversion of thyroxine to three other -- so there's a
15 lot of conditions where there's decreased conversion.
16 It is, I would say, difficult for a person not to have
17 at least once in his life, during a certain period, this
18 decrease in conversion. If you don't do enough physical
19 exercise, it's decreased, the conversion. So sedentary
20 persons can have a poor conversion.

21 Q. Right.

22 A. If you eat too much proteins, you decrease conversion,
23 and patients where we have to ask them to increase the
24 protein intake, and they take thyroid hormones, we have
25 to increase the thyroid hormones. Just to give you that

1 it's frequent and there are more than 200 studies, that
2 was an article I did years ago.

3 This is just an overview of the daily production of
4 thyroid hormones we make.

5 Q. Page 45, for those who have the bundle.

6 A. And what we see is that there's thyroxine made, but it's
7 only one-third of what we daily make in thyroid
8 hormones. Our body makes T3 out of T4 in the liver.
9 Reverse T3, diiodothyronine, monoiodothyronine was one
10 iodine atom, this is two, and this is zero iodine atoms.
11 And it's possible because it has not been extensively
12 investigated that those other hormones had a role. This
13 may explain why dessicated thyroid also works better in
14 a lot of patients.

15 Q. We heard concerns from Professor Weetman about the ratio
16 of T4 to T3 in dessicated --

17 A. But it's a ratio that works better because the problem
18 of a person who is deficient in thyroid hormones, that
19 person often has, because of the deficiency in thyroid
20 hormones and in T3, a deficiency in conversion of T4 to
21 T3. So when you take the thyroid hormones with more T3,
22 they react better, and they are generally well tolerated
23 if it is dessicated thyroid.

24 I agree with Dr Weetman that if you take T3 and T4
25 synthetic, once a day, because normally you should take

1 the T3 three or four times a day, you do have a risk of
2 being, at the end of the morning, a little hypothyroid,
3 but the problem is because the T3 is quickly absorbed,
4 quickly in action, it quickly disappears, but you have
5 the risk that in the evening there's not enough T3, and
6 so they feel a little lethargic. So in those patients
7 that T3 and T4, I tend, if they are not responding
8 enough, to separate in two the doses, most in the
9 morning and a little bit at lunch so you spread the
10 effect.

11 Q. I understand.

12 A. But with dessicated thyroid you don't have the problem
13 in most of the patients. It's slowly absorbed, so
14 there's a sort of 24-hour prolonged effect, and it's not
15 too concentrated an as an action. So it's safer.

16 In a person who is adrenal deficiency, for instance,
17 they have easy palpitations with thyroid hormones,
18 because they convert too quickly the T4 to T3.
19 Dessicated thyroid is slower absorbed, they tolerate it
20 better.

21 Q. If you go to your next three slides, are those the
22 points that you make?

23 A. Yes, better 24-hour, and 24-hour action.

24 Q. Go back one. Dessicated thyroid works better --

25 A. It works better, especially pork. I don't have the data

1 here, but I remember seeing very old studies where the
2 pork type was considered of the best because it had
3 a higher T3, and the patients responded better, they
4 felt better, the clinical signs disappeared better, the
5 cholesterol was more decreased.

6 Q. Right. Next one.

7 A. It has also a stronger anti-goitre action. So one of
8 the ways to see if a treatment works well is, in rats,
9 to block the thyroid gland and then they have goitres,
10 but you can block the formation of goitres easier with
11 dessicated thyroid or T3 but you almost cannot block it
12 with T4 only in those studies.

13 Those are all the points.

14 Q. Thank you. Can we go back to the other set of slides
15 that we were looking at. The Panel have the larger
16 bundle, D14, and I think we're still on page 96.

17 We have just dealt, I think, with allegation 2. As
18 you put it, Dr Skinner provides the wrong treatment for
19 hypothyroidism.

20 A. What I propose is to another, I would say, upsetting
21 information, I found in the report of Dr Weetman, is he
22 said that there's no study to show that T4/T3 works
23 better than T4 alone -- or almost no study. There's
24 this study of Bunevicius. And what was strange was
25 there's about 10/11 studies where they compared the

1 effect of patients treated with T3 and T4, and T4 only.
2 And only in one study they see a significant effect.

3 But in all the other studies, in the rare studies
4 with other patients they did, the tests were not better.
5 In other patients where they asked: do you feel better?
6 They felt better. In the four studies where they asked
7 the patient: do you feel better with the medication?
8 Just a simple question; they felt better, although they
9 couldn't know which medication they were taking, because
10 it was double blind placebo controlled, so they had
11 either the T4, either the T3 and T4, and then they
12 switched. They were all on a period of one of the
13 products successively. So they didn't ask the right
14 questions to see how it worked better.

15 Also another problem is when you give T3, with T4
16 it's quickly absorbed, the action disappears quickly.
17 So if you give this dessicated thyroid, you have more
18 24 hour, and 24-hour effect, and they probably would
19 have better results if they get a product where the T3
20 was more constantly present, and not only for six hours
21 or four hours.

22 Here is another slide where near significant effects
23 are almost clearly significant. So here, for instance,
24 18 out of 24 patients felt better with T3/T4
25 combinations, and they couldn't know which product they

1 were taking. They said: this product I took first, the
2 first weeks, was the best; because that was maybe the
3 week where they took it.

4 So most patients prefer it. So when you increase
5 the ratio of T3, you had 52 per cent that preferred that
6 medication. The higher the T3 was in the preparation,
7 the more it was preferred by the patients.

8 Q. Right.

9 A. So evidence is rather pointing in the direction of T3
10 and T4 is okay, but what I think is not interesting here
11 to know, which is superior, that's not our business for
12 none of us here, but just so show you next to T4, T3 and
13 T4 preparation and dessicated thyroid have an
14 interesting place.

15 Q. Right, thank you.

16 A. That's not in your slides that you're going to get at
17 4 o'clock. They're going to bring the papers, I heard.
18 About the allegation of iodine B12, I don't say it's
19 futile, but it's a safe therapy. Dr Skinner didn't do
20 a blood test, but as I heard, he relied on the GP.
21 I think, it's an acceptable practice to do so. I would,
22 of course, have asked myself to test if I suspect it
23 had, but here you have more relationship with the GP, so
24 I can understand that he could do it. And I heard that
25 some expert had said that vitamin B12 does not work

1 orally.

2 Q. It was one of the GPs said that.

3 A. Well, I give regularly vitamin B12 orally. It takes two
4 to four months, we give it sub-lingual, and it does
5 work, you put it on the tongue and part of it is
6 absorbed through the mucus, part by the stomach, but
7 generally the preparations we give -- there's an
8 interesting factor joint, so in order to absorb vitamin
9 B12, you need another compound that the stomach makes.
10 Generally, when it's added it also improves the
11 absorption. But we have good results but, of course, we
12 do not have the high levels we have with injections, but
13 we have acceptable levels after two to four months of
14 treatment with 2,000, 3,000 microgram per day.

15 THE CHAIRMAN: Mr Jenkins, do we have that?

16 MR JENKINS: No.

17 A. I'm sorry for that.

18 MR JENKINS: We'll make sure you do have it.

19 A. I tried to be complete, and so I added some slides.

20 Q. Let's go on to your allegation 3, as you have analysed
21 it. The suggestion that Dr Skinner's --

22 A. It's not only that it shouldn't be treated, but
23 that also potentially in the dangerous. You're giving
24 to people who don't need thyroid hormones, you are
25 giving thyroid hormones. Is it then dangerous --

1 because normally, if they don't need it, you're
2 overtreating, you're overdosing them.

3 Let's look at the doses.

4 Q. We're on page 98.

5 A. Before the doses, I wanted to show you how does person
6 with too much thyroid hormone look like. This is not
7 enough, that we know, but when you have too much, you
8 don't only lose the swelling, but you get a thinner
9 face, in extreme forms at least.

10 Q. So the patient on the right there --

11 A. This is a person with hyperthyroid. You see the eyes
12 bulging and things like that. This is typical, and they
13 look very anxious, because you're anxious when you have
14 too much thyroid hormones. You're not euphoric, you're
15 anxious, and nervous, very nervous, you're trembling,
16 even when you hold a glass, you tremble.

17 When you have spontaneous excessive thyroid
18 hormones, because atrial fibrillation, bone density
19 problems are often based on real hyperthyroid patients,
20 patients not receiving medication, patients having too
21 much thyroid hormones, those patients have an incredible
22 high level of production of thyroid hormones. It's not
23 50 per cent more, it's 400 per cent more.

24 Q. So patients who are hyperthyroid, who have an overactive
25 thyroid --

1 A. They make incredibly high doses that you cannot reach
2 with 200 or 300 microgram. You need to give
3 500 microgram, or 600 in classical hyperthyroid,
4 full-blown hyperthyroid.

5 Q. So we're not looking at a patient who has been
6 rendered --

7 A. No.

8 Q. -- have been made --

9 A. No. If a patient would be end rendered, they would be
10 something like 20 per cent more than normal but not
11 400 per cent more -- or 50 per cent more maybe, but
12 certainly not more. Or they have to have those high
13 doses of 500/600 micrograms. So we are talking about
14 different diseases or different grades of disease.

15 So here the suggestion was 200 or 225 micrograms,
16 would that be too much? Dr Lynn said for that patient
17 he didn't think it was too much, but you never know.
18 Well, when we read the BNF, what I read there was that
19 the usual maintenance dose to relieve hypothyroidism 100
20 to 200 micrograms per day.

21 Q. That's what the Panel have seen before.

22 A. Yes, so we're still in those safe ranges, because that
23 person had a high BMI or something.

24 Now, I wanted to show you if we follow
25 the suggestion of Dr Lynn, what happens in coronary

1 heart patients. What Dr Lynn said is to stay on safe
2 doses. For him safe doses is 100 micrograms or a little
3 best. That was 1.6 micrograms per kilo.

4 What happens to cardiac patients when you do that
5 and they have hypothyroidism? This is coronary
6 atherosclerosis. Some patients have that and need
7 treatment by the cardiologist. But it's easier also to
8 have coronary atherosclerosis when you have low thyroid
9 function.

10 If we follow the suggestion that most patients
11 should remain on 100, it's not valid for patients with
12 coronary atherosclerosis, because if you remain on doses
13 of 100 micrograms or less, the coronary atherosclerosis
14 that is already there, that means the arteries that
15 supply the blood to the heart have obstructions, there
16 are plaques of atheromas. So the blood cannot circulate
17 well and the heart doesn't receive enough blood. You
18 may not be stressed, otherwise you don't get enough
19 blood in those stressful situations, and you can have
20 a heart attack.

21 If they receive 100 micrograms or less, the next
22 year they will aggravate their coronary arteries, it
23 will get worse. If they receive a dose of
24 150 micrograms or higher, 200 -- but you may not give
25 too much in those patients, you have to be -- I would

1 stay at optimal dose, but you need to give higher doses
2 than 100, if they tolerate it correctly.

3 Well, only 28 per cent, one-third, a little less
4 than one-third will aggravate the coronary
5 atherosclerosis. The other will have a blocking of it.
6 So we need to have the optimal dose.

7 This is one study. There are probably others. This
8 is the one I could produce quickly.

9 Q. It's a study from 1997.

10 A. Yes, but it remains that you need to have an adequate
11 dose in order to have a good heart condition.
12 100 micrograms may be for a cardiac patient not enough.

13 What about the low TSH that we've seen during
14 treatment in clinically not hyperthyroid patients? Were
15 these patients overdosed? Because it has been said over
16 and over that these patients might be overdosed while
17 they didn't have clinical symptoms.

18 Q. I think this is a new slide.

19 A. Yes, I'm sorry.

20 I would say if I have a little problem with the
21 experts here and with the information that's been given,
22 it's here that I have the problem. Even with Dr Skinner
23 I have a problem here.

24 When you have a patient with low TSH and high T4
25 the most evident cause, almost -- I will not say

1 100 per cent but at least 80/90 per cent of the cases is
2 just that the patient took the thyroid hormones before
3 the blood test. This is a fundamental thing in all
4 textbooks.

5 When you give a thyroid treatment do not ask the
6 follow-up test after the intake of thyroid hormones.

7 Q. When are people told to take thyroid hormones,
8 particularly T4, thyroxine?

9 A. They should take that day not the thyroid hormones, they
10 should take it after the blood test.

11 Q. People are told to take it before breakfast, aren't
12 they?

13 A. Yes, usually they take it always in the morning, and the
14 have to chew on it. If they don't chew on it, there's
15 less absorptions.

16 So if they take it in the morning and then they go
17 to the GP or Dr Skinner or whoever, they will have
18 higher levels of T4 normally, if they are well treated,
19 and I'll show you also why, and a lower TSH easier.

20 When I looked at those results, for me if they were
21 really clinically hypothyroid or euthyroid, normal or
22 low, it's almost sure that they took. I have no
23 hesitation and I don't even understand why a doctor here
24 doesn't even do it and say to his patient: please don't
25 take the thyroid hormones that day before the blood

1 test.

2 It's in the NACB guideline. Nine hours after intake
3 of T4 you may not ask for the blood test.

4 Q. So if a patient took their medication in the morning and
5 then went to the doctor, let's say to see Dr Blair or
6 Dr Cundy, and a blood sample was taken from them during
7 the working day --

8 A. If they were well treated with thyroid hormones, they
9 have a high T4 and a low TSH.

10 Q. Right.

11 A. I will show you why. Again, with evidence, not my
12 belief, but with evidence.

13 Q. There aren't many patients who go to their doctor before
14 breakfast.

15 A. No.

16 Q. You talk about age-related decline in the pituitary
17 gland as well.

18 A. Yes. This is what happens when you give thyroxine
19 in the morning to the TSH. If you look here -- you
20 don't have that on your slides, you'll get it -- you see
21 here in green they get it between 6 and 7 o'clock in the
22 morning, you get up early, and then go about an hour
23 after the TSH starts declining and declining. It
24 declines about 2 milliunits per litre, and it
25 declines -- about 11 hours, it will be lower, so go to

1 your GP after 6.30 or 6.00 pm.

2 Q. Forgive me, is this a patient on treatment or --

3 A. This is a patient who receives the treatment, he has
4 a high TSH, it's a real low -- high TSH patient with
5 thyroid insufficiency, he gets thyroid hormones and then
6 the lower levels, when they take it later -- they took
7 several blood samples every hour, and you see the blood
8 levels continue declining, and he took it at 6.30 in the
9 morning, the lowest level will be around 1 o'clock.

10 Now, this is an average. Some patients will have
11 a more severe depression, some less, of the TSH, and
12 it's only around 6 o'clock, 6.30, that you should go to
13 the GP to take a blood test.

14 But we see that this patient does not receive
15 thyroxine. So if the patient came here to the GP, the
16 GP will say: I'm happy if he or she would come after
17 6 o'clock, it would be still hypothyroid. So they are
18 only better balanced during certain time and not after.

19 Now, the point I was going to make is: what do you
20 do when you treat a patient with a normal TSH of around
21 2, for instance? What happens? About the same happens.

22 So what happens is the following: you get
23 a suppressed TSH, some hours, and then it gets better
24 and better. So I just lowered that from 2. So you will
25 have, depending on when you come, a suppressed TSH

1 during the test.

2 What happens when a patient has a TSH of 1?

3 Clinical hypo, but you treat her because she has too
4 many symptoms and this treatment it probably will work.
5 Then it's suppressed all the time.

6 All the time of the day, from 9 o'clock you get it
7 suppressed to 6 o'clock, exactly the time they go to the
8 doctor. So physicians should not take blood after the
9 patient took the medication, but before.

10 Two solutions. Either the doctor goes to see the
11 patient at 5 o'clock in the morning, which he probably
12 won't want to do, or just ask the patient very simply
13 not to take the medication before the blood test.

14 When I have a patient who has a high T4 and a low
15 TSH, and maybe a high T3, I'm almost sure that the
16 patient took the -- because if the patient is clinical
17 normal, I'm almost sure the patient took the medication
18 before.

19 What I do is on re-do a blood test later in good
20 circumstance and then it's normal. If it is not,
21 I reduce the dose, or maybe that patient is one of those
22 patients who has a resistance because of low receptors,
23 but the most classical, 80/90 per cent is that. Never
24 do a blood test before.

25 In elderly patients you get probably more, this is

1 more in young people, but imagine you have an elderly
2 person, you'll probably get a greater suppression of the
3 TSH, because the TSH has already declined.

4 Q. Do you mean a greater variation during the day?

5 A. No -- yes, I think a greater decline. A greater decline
6 of TSH because of treatment, because there's a weakness.

7 There are other studies that have been done on those
8 tests, and conclude that the tests do have major flaws
9 in the follow-up. For diagnosis without thyroid
10 treatment it's okay, but with thyroid treatment it's
11 a problem, but I think the problem relies often also on
12 the fact that they the did blood test without warning
13 the patient not to take the medication before.

14 Q. The first author we see there is Professor Jane Franklyn
15 of Birmingham.

16 A. Yes. She found in the patients she treated, on 77
17 patients, that means 75 per cent, who were receiving T4
18 undetectable TSH, probably somewhat overdosed, but
19 others were probably normally dosed and need to have
20 a dose.

21 But again, no discrimination perhaps. She didn't
22 ask not to take the thyroid hormones before. But that
23 means that there's a very high ratio in, let's say ...
24 Here is another study where the 71 per cent actually had
25 an undetectable TSH.

1 Q. I think the third author there is a name we've seen
2 before, Toft.

3 A. Yes. Is that the same doctor?

4 Q. I think it is Dr Toft we've heard mentioned, Anthony
5 Toft.

6 A. Here is Castro.

7 Q. I don't need to ask you about names now.

8 A. No, but measurements of serum concentrations, the free
9 T4 -- the free T3, the TSH, they found that it didn't
10 permit to distinguish the patients between gross
11 abnormalities, they didn't know if the patients were
12 adequately treated from excessive replacement. They
13 couldn't see the difference. So they had to rely on
14 physical examination.

15 Their conclusion was here, what they said
16 a biochemical test of thyroid function of any value --
17 that is Fraser actually, it's a Sottish doctor. He said
18 those measurements are little, if any value, in
19 monitoring patients. So it's golden standard to do the
20 test before.

21 During treatment I really advise to do the test, but
22 not to rely only on the test to the conclusion how
23 you are going to adapt the treatment or not. You have
24 to have an confirmation. If the person is clinically
25 nervous and hyper, et cetera, plus those suppression of

1 TSH and high T4, you have to lower the hormones and not
2 to increase them or to let the same dose.

3 So to stop doing thyroid function tests in this case
4 would result in considerable savings nationally in the
5 costs of reagents(?) in laboratories. I do not totally
6 agree, because I still I think it's helpful when I see
7 a patient who has a low TSH and a high T4, and she did
8 not take, or he didn't take it before, I do look at
9 physical examination much more, and I interview the
10 patient much more, and there are a certain number of
11 cases where really the dose is too high.

12 Q. Let's move on?

13 A. What about the high free T4? What does happen when you
14 take T4?

15 Q. This is also a new slide, I think.

16 A. Remember it is not --

17 THE CHAIRMAN: Is this a new slide and therefore --

18 A. Yes, it is a new slide, and the slides that come also
19 will be new slides what is important to have in the
20 cells enough T3, the dominating hormone for action.

21 At what level of T4 will you have in the blood and
22 a sufficient level of T3 and a sufficient level, let's
23 say, when we saw that it's not in the lower tertile,
24 it's, let's say, average level between the two, the
25 higher level and the lower level. The middle level

1 would be more or less an acceptable level, but how much
2 T4 do you have to have in blood to have an acceptable
3 level of T3?

4 Q. In the tissues?

5 A. Probably with you not certainly in the tissues.

6 You have to have a very high level of T4 at the upper
7 limit of the reference.

8 A little complicated slide, but it shows here, this
9 an average level of T3. So you are just between the two
10 reference limits in the middle. To be in the limit you
11 never have this with free T4 that is low or free T4
12 that is average or high/normal.

13 You actually have it when it's above or at the upper
14 reference range then only will you have a sufficient
15 free T3, and this in the average person. So that's why
16 the UK guidelines for thyroid action was said that
17 you have to have a high or average level of free T4
18 because they knew about this study.

19 Q. That's the 1996 guidelines that we've heard of.

20 A. Yes. This is a study of 1984. We know this already
21 since long. It has been forgotten.

22 So in order to have sufficient level of T3 in blood
23 and not necessarily in the cells, but your likelihood is
24 higher, you need to be on the high level. If not above
25 the reference range.

1 I'm open to critics of this, but I don't think there
2 will be another study to come from this.

3 High free T4 during treatment in clinical
4 non-hyperthyroid. I think here in this case for me it's
5 evident. It's probably not evident for Dr Skinner or
6 for the other doctor, but for me it is evident this is,
7 and this I say truthfully. It's generally caused by
8 blood intake just after -- not the test after taking the
9 thyroid hormones.

10 Q. Taking blood after the patient has taken the medication?

11 A. Is incorrect, and then you get always a high free T4.

12 Q. Right.

13 A. But even if you don't take it, the free T4 must always
14 be high enough to get enough T3 if you only rely on
15 thyroxine. So a patient only on thyroxine should
16 certainly have a high T4 all the time.

17 But you can also have a poor conversion, and I'm
18 going to show you what happens in a person with a poor
19 conversion in the blood levels of intake. You also can
20 have a high absorption. The patient can exceptionally
21 highly absorb the T4 much better than others. In the
22 place of 50 per cent it will be 75 or 80 per cent.
23 Of course blood levels will be higher.

24 This is what happens with the T4 in the same study
25 of the TSH. In other studies it's more evident, there's

1 a higher increase. You increase the -- it's not the
2 TSH. I have to change that, sorry.

3 On the slide you will have to change it also because
4 the one that are being printed there is some wrong
5 information.

6 So the person takes the thyroxine and there's an
7 increase in level during between 9 and 14 hours, after
8 intake the level is increased.

9 Q. Can I stop you. On your chart --

10 A. This is 2007 study.

11 Q. We see time, and the patient takes the medication at
12 about 7 o'clock in the morning.

13 A. Yes. 6/7 o'clock. You see it here, and then the level
14 increases very quickly. Half an hour later, already
15 increases, and will remain increased during up to nine
16 hours, 10 o'clock in the evening with T4. This is an
17 average. Some patients will have higher increases,
18 others lower.

19 Because remember, T4 is not so easy to absorb as T3.

20 Q. You have told us there may be unpredictable absorption
21 rates when the patient has swallowed the tablet.

22 A. Yes. For instance, patients who take wholegrain bread,
23 the cellulose of the wholegrain bread will glue the
24 thyroxine taken, and a lot will get in the stools and
25 the TSH, for instance, will be much higher, so there

1 will be much less absorption. So you may not take
2 the thyroid hormones with wholegrain bread, for
3 instance.

4 Q. Don't take thyroid with wholegrain bread because it
5 binds to the --

6 A. There are other reasons no to the take it anyway, you
7 lose also sex hormones, but that's another subject.

8 If a patient is, for instance at 13 and she gets
9 thyroid hormones, and she has a poor conversion of T4,
10 she will not quickly convert the T4 to T3. That's one
11 of the causes -- or high absorption -- and you can have
12 a high level, but an inactive level, not very active
13 because there's a poor conversion, because remember it
14 must be enough T3 in the cells.

15 Q. And your blue dotted line across the chart --

16 A. That's the upper limit --

17 Q. -- shows the upper limit of the reference range for some
18 of the ranges that we've seen?

19 A. This is the result of the study. This is what I have
20 put personally. It's not in the study. It's just for
21 explanation. The same for here. However, when we saw
22 this slide, when I put -- this is in the study. This
23 I put --

24 Q. The green line.

25 A. I added this myself just to make the point clear. Now,

1 another point I want to stress, the danger of absence of
2 treatment of clinical hypothyroid --

3 Q. This is another new slide, I think.

4 A. Is it? No, I think you have at least this slide.

5 THE CHAIRMAN: I think it's page 102.

6 MR JENKINS: I'll very grateful. That is, yes.

7 A. So the absence of treatment is potentially dangerous.

8 There's a reduced quality of life. And as we will see
9 a little later there's an increased risk of atrial
10 fibrillation.

11 Q. If you don't treat?

12 A. If you don't treat.

13 At least in cardiac patients who are being operated
14 [sic].

15 There remains an increased risk of bone loss with
16 thyroid hormones in post-menopausal women.

17 I'm going to answer to the arguments that -- we
18 don't have to forget it's potentially does, but let me
19 see if I ... I'm going also to answer to the arguments
20 that it's potentially dangerous for thyroid treatment.
21 Keep in mind we have seen that absence of thyroid
22 treatment may be deleterious. Apparently it is.

23 The approach is not to treat -- that you can have
24 heart problems, bone loss, and some patients may become
25 addicted et cetera. So we're going to talk about that.

1 There are two schools in treatment. This is
2 a repetition actually. One will say your normal lab
3 tests do not treat. Let's say this is one extreme.
4 Only lab test counts and the actual reference ranges
5 stick to it. It's wholly a religion. The dogma not to
6 oversee. Not to exceed.

7 This is an extremist attitude because even in the
8 States they are, let's say, a little more open, and in
9 Germany much more open, et cetera. Why should the UK be
10 so extremist?

11 The other attitude is: do a safe therapeutic trial
12 so there are certain conditions to do a safe one.
13 You have to start with small doses, you have to do
14 a good evaluation before, and a whole medical history,
15 complaints, physical examination, lab tests and under
16 therapy trial. This is the position of the
17 International Hormone Society. I don't think it's an
18 extremist. It is just that we are here to help the
19 patient and not to help the lab test.

20 The difference is, and I talked about it, so I'm not
21 going to talk too much it, but if you have depression,
22 we give antidepressant, but why not look the underlying
23 causes. It may be thyroid deficiency or other hormone
24 deficiencies.

25 So if you don't treat the hypothyroidism, you will

1 have to give maybe antidepressants, do psychotherapy,
2 takes laxatives against constipation --

3 Q. You have said maybe 20 medicines for various conditions?

4 A. Maybe 20 medicines, and it's almost the same. Slower
5 school and work results, easier job you have to find,
6 et cetera, antibiotics because of the infection.
7 It's too much.

8 So therapeutic trial may be the solution if it takes
9 all the symptoms away. If it doesn't, stop the
10 therapeutic trial and give all the laxatives and
11 antidepressants you want, but why not the therapy trial?
12 Which attitude is really safer?

13 Because we know that people are depressed, they have
14 an increased mortality, cardiovascular mortality, maybe.

15 Let's look at the potential dangers of the treatment
16 of Dr Skinner and of comparable doctors. We will do the
17 same.

18 Q. Page 104, I think?

19 A. What about atrial fibrillation. I'm very happy that the
20 risks have been cited because these are eventually risk,
21 and possible risks, I don't deny that. But let's look
22 at the data.

23 The data that shows a relationship between a low
24 TSH and atrial fibrillation is based on -- to my
25 knowledge, not on a study with thyroid hormones.

1 Anecdotally there are some publications, but they're
2 very anecdotal, it was not sure it was caused by the
3 thyroid hormones.

4 But the studies are based on people who have
5 hypothyroidism. Overt hypothyroidism, you remember the
6 huge amounts they make you. Too much of something good
7 is too much, and will give a problem. But they make
8 very big amounts of thyroid hormones. Huge amounts.

9 There's some disease, subclinical hypothyroidism,
10 low TSH, where there's increased risk of atrial
11 fibrillation. But some patients with a low TSH have
12 a central hypothyroidism.

13 Q. Central?

14 A. Central, that means that the brain is not able to make
15 enough TSH. When I look at the studies, I don't find
16 really that they discriminate correctly.

17 So I am not always sure the study has well
18 distinguished real patients who might have too much
19 thyroid hormones, but those studies show a certain
20 relationship. They come to the conclusion that the risk
21 of developing atrial fibrillation is only really greater
22 in patients who have 0.1 or lower levels of TSH.

23 But again, not patients who are treated. It has not
24 been shown to my knowledge -- maybe there's a study and
25 I am interested to know about it. Really interested.

1 Here's a study that shows that actually, when you
2 look at patients who have had atrial fibrillation,
3 there's ... When you have too low levels of TSH or too
4 high, you only have certain hypothyroidism or
5 hyperthyroidism, you have about the same risk of atrial
6 fibrillation, so not enough thyroid hormones are too
7 much -- are not good, and you increase of the risk of
8 atrial fibrillation.

9 So when you have a low free -- because the risk of
10 atrial fibrillation seemed, when I looked at the report,
11 to be related more to the T3, giving T3 additionally
12 might be a greater risk and it seems no true. In
13 patients who get a bypass operation because the coronary
14 arteries of the heart are obstructed by plaques,
15 atheroma plaques, those patients have an increased risk
16 of atrial fibrillation if they have a low T3, and
17 actually this is evident, of course.

18 What is a symptom of low thyroid function of
19 hypothyroidism. Irregular heart beat is a symptom.
20 It's a slow irregular heart beat is a symptom of low --
21 they make more extra systoles, and when you give thyroid
22 hormones, it normalises the pulse and the heart rate.

23 Usually when you have too much thyroid hormones you
24 don't get irregular heart beat, you get a too fast heart
25 and too strong. It contracts too strongly.

1 You see also there's a high frequency of
2 hypothyroidism in dogs who have atrial fibrillation, and
3 in patients with irregular heart beat there's a low T3
4 and a high T4. So poor converters of T4 to T3 have
5 easier arrhythmia because their hypothyroid in their
6 heart.

7 Here is a study that shows when you give T3 therapy
8 you decrease the incidence of atrial fibrillation after
9 heart operations, so there is surgery for the heart,
10 you have less risks.

11 And I was not looking at such a study, I was looking
12 to find a study that showed -- that really proved that
13 you had increased risk of atrial fibrillation. I found
14 the contrary.

15 I didn't look it up for this case, it's a long time
16 ago. The reports where they talked about T4 causing
17 atrial fibrillation were only review articles where they
18 suggested that it could increase the risk, but maybe
19 there's a study that is really solid but I didn't find
20 it.

21 Q. So just looking at the question of atrial fibrillation,
22 the study that has there have been with patients who are
23 hyperthyroid suggest that they are at greater risk of
24 atrial fibrillation.

25 A. Yes.

1 Q. Those are patients who are not on treatment.

2 A. But they made huge amounts of thyroid hormones for most
3 of those people.

4 Q. And you've shown us the graph --

5 A. Not comparable --

6 Q. -- where we saw an enormous amount of T4 being produced
7 by those patients.

8 A. Yes.

9 Q. As to patients who are being treated for hypothyroidism,
10 is there any study to suggest that those patients, even
11 if the T4 levels or the TSH are high, outside the
12 reference range, or the TSH levels below the reference
13 range, is there any study to suggest --

14 A. I did find a study --

15 Q. -- that those patients are at increased risk of --

16 A. I didn't really find a study. But I was searching for
17 it. But maybe there is a study, so I'm interested,
18 because still too much thyroid hormones is related to
19 atrial fibrillation, but too low thyroid hormones
20 apparently also. So we have to be in the safe margin
21 where people have a normal thyroid function, healthy
22 thyroid function.

23 Q. As judged by?

24 A. Certainly the clinical examination would be certainly
25 when you have thoughts, you have to rely on that, but

1 the best would be to have a TSH, let's say, above 0.1,
2 and probably under the 2, but again, there are
3 exceptions.

4 Q. I understand. Let's come on to bones and bone density.
5 page 107.

6 A. What about the bones? It has been repeatedly said by
7 Dr Lynn said that the problem has been overemphasised.

8 Let's look at the data. This is one of the data
9 that shows that people with subclinical hyperthyroidism
10 have a lower bone density.

11 So people who have a low TSH you give them block of
12 thyroid function. So they have too much thyroid
13 hormones, and you block that and you see indeed that the
14 bone density was the product increased. There's more in
15 the forearm, more bone density.

16 Why are we always talking about bone density, what
17 is important? Well, when you don't have enough bone
18 density, you break your bones easier. And if it happens
19 in your back, you collapse and you get smaller and
20 smaller, with a bending back. Imagine how you live with
21 a bending back all the time. So it's important indeed
22 to correct any abnormality. And you see that indeed
23 people who spontaneously have a low TSH because of too
24 much thyroid hormone they do improve.

25 Here are studies that show that actually the bone

1 loss has only really been evidenced in patients who had
2 spontaneously hyperthyroidism, or those huge amounts of
3 thyroid hormones, or a woman in the post menopause.
4 This is a review. Everything I show you normally comes
5 out of a review of the 30 years of studies.

6 So we know that women who are not taking female
7 hormones after having menopause, they don't have -- they
8 get osteoporosis and bone loss, and that is aggravated
9 when they take thyroid hormones, so you need to treat
10 those patients with female hormones, but with the safe
11 ones, not the oral oestrogens, but that is another
12 debate.

13 The bone loss, when it is identified, it is mainly
14 transitory only the first year, because how is the bone
15 when a person has hypothyroidism. It's swollen. It
16 also swells. And it probably has a thicker density
17 thanks to the swelling, maybe there's more calcium,
18 I don't know, accumulated. So after the first year you
19 clean up the face, the bone gets less swollen, it's
20 probably a reason. I don't know.

21 But after it doesn't seem to have an effect even if
22 a woman is in the post menopause, the first years at
23 risk.

24 But there are several studies with no adverse effect
25 of thyroid therapy on bone density. There are two

1 studies that show that there's no increased fracture
2 incidence in women with low TSH and one of those studies
3 was given by Mr Kark. I think I have it here. It's the
4 Bauer study, I believe.

5 So you don't have an increase risk of fractures.
6 What is important mostly do you have an increase.
7 Actually the bone is maybe even in better health because
8 to have new bone formation, for the bone to be in
9 health, must have a good new bone formation, and the
10 thyroid hormones are an essential tool for that.

11 Here another study that shows there's no bone loss
12 in women in the post menopause if they get the female
13 hormones. So oestrogens therapy neutralises that risk.

14 Here is a whole series of studies, one of those is
15 by Professor Franklyn, that is studies with patients on
16 the therapy did not have any significant bone loss. So
17 there are much more studies that are reassuring,
18 although I do find that the last years -- and I didn't
19 take all that literature because this information,
20 I have put it together about a year and a half -- but
21 the latest study or some other studies show you have to
22 watch out with women in the post menopause: please
23 provide hormones or watch out.

24 Don't get the TSH too suppressed in women after the
25 menopause, but women before the menopause, even if it's

1 suppressed it doesn't seem to be a problem. That's on
2 the lower part. It's a study where thyroid therapy
3 improves bone formation, stimulates new bone formation.

4 So I think the studies are relatively showing this
5 just in a small fraction of the population,
6 post-menopausal women have to watch out, but that
7 doesn't mean we may overdose our patients. They should
8 be safely dosed on a correct dose.

9 So the allegation 3 in the report I say that is
10 potentially dangerous. I think the experts who have
11 concluded of those potentially dangerous, did not have
12 the data. I think there's always a danger in any
13 treatment, and no treatment should be overdosed. But
14 we have seen that the blood tests are less than for
15 other hormone deficiencies, useful for examination,
16 although I think it should always be done, blood test
17 and follow-up.

18 What about the addictive effect? I have shown that
19 scientifically at least there's no study to show that
20 their hormones provoke euphoria although there's --
21 well, there's no real study. But they do feel better
22 the patients. When you get relief from your hypothyroid
23 symptoms, of course you feel better. And why did they
24 get enthusiastic? Because I showed you how important
25 the thyroid hormones are. So they really changed the

1 life of a person.

2 If I wouldn't taken up my thyroid hormones, I would
3 be here swollen up, probably be tired all day, and
4 wouldn't be here even, and probably not have the
5 intelligence to talk to you clearly. So it does change
6 your whole life.

7 Q. Let's go on. The next slide we have is the danger of
8 TSH suppression.

9 THE CHAIRMAN: Excuse me, could I just remind you we're
10 going to finish at 5. Just to keep that in mind.

11 A. So if you suppress the TSH are you going to atrophy the
12 thyroid glands? We are not giving here doping. What is
13 doping? Doping is 100 -- let's say it's 10 times more
14 than you daily need. We're giving a fraction of what is
15 necessary. If a person needs maybe 120 micrograms per
16 day of T4, we are giving -- not everything is absorbed
17 so we probably have to give 200 micrograms, or 220, to
18 replace in a person who has had total thyroid gland
19 loss.

20 So we're giving a fraction and you, in my knowledge,
21 rarely suppress totally the thyroid gland like this.
22 The lab tests we saw, the evidence, I think, is that
23 during certain time there was suppression, there was
24 suppression of the TSH, but it was not 24-hour
25 suppression. At least if the person is not clinically

1 hypothyroid, I would doubt, I really doubt.

2 But let's look at the studies. How many years
3 a person would take thyroid hormones? 10, 20, 30?
4 I didn't have by hand the study where it showed that
5 patients that took since 32 years thyroid hormones had
6 a test of stopping the thyroid hormones. But here is
7 a study where patients were taking between five months
8 and 22 years dessicated thyroid or synthetic T4 or
9 thyroxine, et cetera.

10 On the average, even after 22 years, the T3 had
11 a full recovery in three weeks. 22 days on the average.
12 In order to have a normal free T4, it was full recovery
13 on the average 19 days, even after 22 years. The plasma
14 TSH response to TRH, that means that when you inject TRH
15 if the pituitary gland is not atrophied by the
16 treatment, it has good response. That response is
17 recovered after 16 days, two weeks, a little more than
18 two weeks. So two to three weeks on the average to
19 recover everything. But we're not doing doping.
20 You cannot do doping with thyroid hormones, it's
21 unbearable. The person gets anxious and you certainly
22 can't do that for 22 years.

23 The information that you get when you over-replace
24 euphoric is not the right information. It's incorrect
25 information, erroneous.

1 Q. Let's go to the next slide, if we may.

2 A. About the patients?

3 Q. I think we might want to start the other document and
4 come back to the patients.

5 A. I have two documents. I have one document with
6 evaluation of the patients of Dr Skinner and how they
7 were treated and a document on the evaluation of the
8 reports of the experts.

9 Q. Shall we start on the experts?

10 A. Yes.

11 Q. And then come back to the patients.

12 THE CHAIRMAN: Remind me where we are then.

13 MR JENKINS: We are going to Dr Hertoghe's report, which is
14 document D13.

15 A. Part of my task was to evaluate the scientific --

16 THE CHAIRMAN: Do you have a page number?

17 A. These are the ones we should normally have. Maybe
18 we can distribute them. Do you have them? Otherwise we
19 do it tomorrow.

20 MR JENKINS: We had better get on. Do you have it? Do you
21 want a written copy? Here is a printed copy.

22 A. That's the report. I have the report. The information
23 I am giving is a sort of brief review of actually my
24 report. It's mainly based on the report of Dr Weetman
25 because he had, let's say, the most information and the

1 most erroneous information, if I may say.

2 THE CHAIRMAN: Is that in this?

3 A. It's all here. I will follow the same order, but

4 you have more text and more explanations.

5 MR JENKINS: Why don't I take to you the text? We can leave

6 that. I'm taking you to page 3 to start with.

7 A. Page 3 I have also on slides.

8 Q. I'm going to stop slides for a while. Stay with the

9 typed document. You talk of, under paragraph 6,

10 scientific divergence on thyroid treatment between two

11 medical schools of thinking?

12 A. Yes. I think this is fundamental, that there is -- in

13 order for a medicine to progress you need diversity,

14 you need different therapies, you need different

15 thoughts so that we can arrive to the best treatment.

16 But there's apparently not one way of thinking who is

17 the best, so it's never good to have just one way of

18 thinking and everything.

19 I think here the centre of the debate and the centre

20 of the troubles is that there are two schools of

21 thinking in medicine. One who is, let's say, in a power

22 position, at least in the UK, and one who goes forward

23 with, let's say, more evidence. I try to show you the

24 evidence, part of that.

25 Q. Paragraph 8.1.

1 A. So you have the school of endocrinologists, but what is
2 an endocrinologist actually? When you look at his
3 training, it's a half year to one year and a half.
4 Maybe some things have changed in recent years, but
5 before it was mainly internal medicine and additionally
6 internal medicine has nothing to do with endocrinology.

7 Then additionally they had at the end of their
8 formation a half year, or one year and a half, in
9 a hospital in an endocrinology unit. And what do they
10 learn there? They learn with the patients who come to
11 the hospital. Who comes to the hospital? Diabetes
12 patients. So they are very good experts in diabetes.
13 But, like you see, Dr Weetman writes in textbooks of the
14 thyroid, gives a lot of incorrect information, erroneous
15 information. I don't think he had an adequate training.

16 He says himself, "My speciality is internal
17 medicine, diabetology and endocrinology." He doesn't
18 even say thyroidology, the science of thyroid diseases.

19 Then the school has formed the local GPs, and so
20 they have the same approach. What I see each time I go
21 in congresses and debates, it's always the same.
22 They don't learn enough about scientific data and they
23 don't pay attention enough to the patients -- more lab
24 test endocrinology -- and that's because in diabetes
25 that's what works, you need to know very well the lab

1 test for having diabetes well controlled.

2 So often there's poor scientific evidence and for
3 thyroid pathology, it is like Dr Lynn said and
4 Dr Weetman: you only treat outside of the reference
5 range and even then -- so not only above TSH of 10.
6 And the leading societies in the world, it's not the
7 BTA, it's the Endocrine Society or the American Society
8 of Clinical Endocrinologists who are getting much more
9 diverse information, but most of them are diabetes
10 specialists.

11 There's also the school of hormone therapists.
12 That is all the doctors who -- don't consider them as
13 endocrinologists, but use hormone therapies, including
14 endocrinologists, official endocrinologists. So in our
15 International Hormone Society we do have
16 endocrinologists, but it's not the majority.

17 Q. You have doctors from all over the world?

18 A. All over the world.

19 Q. America?

20 A. America, Chinese, Europeans. All over the world, yes.

21 Q. Do go on. What's the approach?

22 A. The approach of those doctors of the International
23 Hormone Society is: don't treat only the most severe
24 deficiencies, but treat also the intimate degrees of
25 deficiencies. So you can prevent or decrease the number

1 of pathologies or disease that come when the thyroid
2 function is not sufficient, and we have seen when there
3 is already disease, it was in the reference ranges.

4 So they suggest therapy trials, but also the reason
5 is not only to decrease the incidence of disease and
6 mortality, but also improve the quality of life of the
7 patient. And it has been more and more recognised that
8 for treating the quality of life of the patient, you can
9 do certain therapies. For instance, for cancer
10 therapies they can get injectable EPO, the one that
11 sports people take; they can get it reimbursed, although
12 the cost is high, when they have cancer. And the reason
13 was not because it decreased the disease of cancer, but
14 just because it corrects the quality of life.

15 So the leading society is the International Hormone
16 Society and I'm very amazed how much enthusiasm
17 it provokes, so I'm sure we're going to continue growing
18 especially as we're making more congresses. What we try
19 to do, in order to palliate, we don't think that the
20 diabetologists are bad doctors, we think there's a need
21 for much more training, evidence-based training, but
22 also patient-oriented training.

23 When I was looking at the reports of the doctors,
24 I think we all had the same concern, every one of you
25 has the same concern: we want the patient's safety and

1 wellbeing. They had it also. But it was not as much
2 focused, I think, as it could be. So we're doing this
3 "trainingship" and I know, because of my experience with
4 training, that it will have a lot of people following
5 this trainingship.

6 Q. Let's move on to Professor Weetman's report. I take you
7 on to your page 5.

8 A. And the one of Professor Weetman, point 4. He names all
9 the causes of hypothyroidism, but he forgets aging,
10 infections, and inadequate nutrition are probably very
11 important. I can in one week decrease my thyroid
12 function by eating a lot of meat, for instance, because
13 I slow down the conversion of T4 and T3, so nutrition is
14 also an important point.

15 Q. That's first point you have on the slide that we have.

16 A. The first. So it's incomplete.

17 Q. Right.

18 A. Important omissions that may have made a better
19 understanding of what Dr Skinner does. Because if
20 there's so much frequent causes of hypothyroidism, the
21 incidents of hypothyroidism are higher than officially
22 proposed by dogmatic institutions.

23 Q. You then deal with --

24 A. The symptoms and signs of hypothyroidism. So this was
25 for me a hard point. I couldn't understand why a doctor

1 would give a different conclusion to a study than the
2 authors themselves. So he said that the study that
3 evaluated a sort of symptom list to see a global score
4 of physical signs and symptoms to -- if that was
5 possible to detect people with slow thyroid function,
6 and he said it was not significant; it showed that it
7 didn't work, et cetera. It's not true, it showed
8 exactly the opposite. He said something like: you have
9 healthy individuals that are inside the reference range
10 who also have symptoms of low thyroid function.

11 So they have, I think it was 6 per cent in the place
12 of 62, so people who have values of lab tests of thyroid
13 function outside the reference range, 62 per cent had
14 heavy complaints of low thyroid function. And 6 per
15 cent of people inside the reference ranges, who were
16 normal for him, had severe hypothyroid symptoms, so he
17 concluded because there was no total separation that
18 actually it was not reliable.

19 But what does that mean? That probably means that
20 the people who were inside the reference range had some
21 kind of low thyroid function. It may explain exactly
22 the contrary. And with the experience I have, I think
23 it is that. So I give the conclusion of the authors
24 that was different than his conclusion and I do not
25 understand why he changes the conclusion. Then he says

1 very rightly TSH can be misleading in central
2 hypothyroidism.

3 Q. This is your paragraph 13 on page 6.

4 A. But incomplete, it forgets that central
5 hypothyroidism -- that means the brain does not secrete
6 enough TSH. The pituitary gland does not secrete
7 enough.

8 Q. You talk about aging and that the majority of us will
9 develop --

10 A. Yes. So for him it is a very rare exceptional
11 pathology. And if it happens, you immediately have to
12 send it to a super specialist, who has to search for
13 a tumour. We all are developing a secondary, a central
14 hypothyroid. Yes, we all are developing.

15 Q. You go on to comment on Professor Weetman's view that
16 the goal of treatment should be to normalise the blood
17 TSH level.

18 A. Yes. I had found a number of insurmountable
19 inaccuracies. It's in contradiction with the UK
20 consensus, so the consensus published in the British
21 Medical Journal. The consensus of the BTA, the British
22 Thyroid Association, his society, is actually, I don't
23 think, published in a peer review journal.

24 Q. What we have in Professor Weetman's report at the bottom
25 of his page 6 is the statement that a UK consensus

1 statement published in 1996 stated that the correct dose
2 was one which relieved symptoms and would in most
3 patients result in a normal or raised serum thyroxine
4 concentration [i.e. T4] a normal Tri-iodothyronine
5 concentration, T3, and a normal or below normal serum
6 thyroid stimulating hormone concentration. And he gives
7 a reference, the BMJ 1996. Do you have it?

8 A. Yes, I have also the article here. So I think this is
9 a right definition for follow-up.

10 Q. To relieve symptoms?

11 A. To relieve symptoms should be the first of our targets.
12 If the test could also be, let's say, more or less
13 normal, it's okay except in some cases where probably
14 there's in the cells a need for thyroid hormones, a lack
15 of thyroid hormones.

16 Q. You go on to say that --

17 A. Dr Weetman seems to believe that the American Thyroid
18 Association confronts [sic] this belief.

19 Q. Confirms his belief?

20 A. Confirms his belief, yes.

21 Q. Does it?

22 A. Actually on review of this guideline, because it's
23 a very long guideline, it states that:

24 "We have to rely not only on lab tests but on
25 association of laboratory measurements and clinical

1 evaluation."

2 And I think this in any endocrine pathology has to
3 be, because the cellular deficiency will always be seen
4 by good clinical examination, almost always. There are
5 groups of symptoms that, when you put them together, are
6 very typical to a deficiency. I work with a lot of
7 different hormones, with complex patients, and I can say
8 what I will have in the lab test I already almost know
9 when I have done a good clinical evaluation.

10 MR JENKINS: I see the time, it is not quite 5 o'clock, but
11 I know we've got some printing to do before we finish
12 the day. Mr Kark is anxious to get documents. I don't
13 know whether the Panel would like to have overnight any
14 extra slides photocopied for them or whether you feel
15 you can wait until the morning. I will follow your
16 wishes on that.

17 THE CHAIRMAN: I think we can wait until the morning.
18 We will meet tomorrow at 9.30 and Dr Hertoghe is
19 obviously still under oath.

20 MR JENKINS: I'm told in fact that the slides have already
21 been printed and, if you would like them now, we can
22 give them to you now, but again you can wait until the
23 morning if you wish.

24 MR KARK: Tomorrow, after this witness has finished, I think
25 my learned friend may have one more witness that he

1 wishes to call and there may be some legal argument
2 about that. Can I just indicate that given the length
3 of this evidence and the amount of material that we are
4 having to deal with, I doubt very much that I will be
5 able to make a speech tomorrow and I would hope that
6 you will not require me to do so. We are dealing with
7 a huge amount of material and I can indicate
8 straightaway I would very much prefer to make my speech
9 on Monday.

10 THE CHAIRMAN: That's fine, Mr Kark. We are finished for
11 the day, thank you.

12 (5.00 pm)

13 (The hearing adjourned until 9.30 am on
14 Friday, 13th July 2007)

15

16

INDEX

17

DR GORDON SKINNER (continued) 2

18

Questions from THE PANEL (continued) 2

19

Further cross-examination by MR KARK 5

20

Re-examination by MR JENKINS 21

21

Further further cross-examination by MR 31
KARK

22

23 Further examination by MR JENKINS 33

24 DR THIERRY HERTOGHE (Affirmed) 36

25 Examination-in-chief by MR JENKINS 36

